

1 THE NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND  
2 HEALTH/NATIONAL PERSONAL PROTECTIVE TECHNOLOGY  
3 LABORATORY (NIOSH/NPPTL) PUBLIC MEETING  
4  
5  
6

7 Tuesday, July 19, 2005  
8  
9

10 DISCUSSION OF CONCEPTS FOR STANDARDS FOR APPROVAL  
11 OF RESPIRATORS FOR USE AGAINST CBRN AGENTS AND  
12 GUIDELINES FOR THEIR USE  
13  
14  
15

16 Commencing at 10:00 a.m. at Holiday Inn  
17 Select, Pittsburgh South, Pennsylvania.  
18  
19  
20  
21  
22

1 P R O C E E D I N G S

2 MR. BOORD: Okay. Good morning everyone.  
3 I would like to the welcome you all to this  
4 NIOSH/NPPTL two days of meetings to discuss concept  
5 requirements for CBRN closed-circuit,  
6 self-contained breathing apparatus, CBRN powered  
7 air-purifying respirators, industrial powered  
8 air-purifying respirators, and CBRN respirator  
9 guidance documents.

10 For those of you who have participated in  
11 any of our previous public meetings -- is that  
12 okay? Can everybody hear?

13 For those of you who have participated in  
14 the previous public meetings, I think you will  
15 recognize and appreciate the importance that this  
16 process plays in developing the respirator standard  
17 requirements and performance requirements.

18 I think that the interactions that occur  
19 during these public meetings and other discussions  
20 relative to the concepts that we are looking at and  
21 evaluating are very helpful in developing and  
22 providing clarity to the ultimate requirements that

1 we use in the standards.

2           And for those of you who don't know me,  
3 my name is Les Boord. I am the acting director of  
4 NPPTL.

5           And the activities that we are going to  
6 discuss over the next two days, particularly those  
7 focused on the CBRN respirator standards and  
8 guidance documents, are well emphasized by the  
9 recent activities that we have relative to the  
10 threat of terrorism.

11           I'm sure we're all aware of the most  
12 recent events that occurred in London two weeks  
13 ago, but the list goes back quite a ways, 1999  
14 through this month in London. And who really knows  
15 what the future activities will be.

16           which really, I think, emphasizes the  
17 importance and the need to do the types of  
18 activities that we're conducting over the next two  
19 days.

20           what we have done in the past is, as I'm  
21 sure most of you are familiar and aware, we have  
22 developed and implemented CBRN standards for

1 self-contained breathing apparatus. And we have  
2 implemented standards for CBRN air-purifying  
3 respirators, gas masks, and for CBRN escape  
4 respirators.

5           The statistics that are illustrated on  
6 the slide here reflect some of the activity that we  
7 have had in actually approving respirators to the  
8 CBRN category of devices.

9           The number of self-contained CBRN  
10 approval holders are six different manufacturers,  
11 or applicants, have those approvals.

12           The total number of SCBA approvals is 36.  
13 And in addition to that, the number of CBRN/SCBA  
14 retrofit capable approvals issued are 20, which is  
15 a very important number because that really gives  
16 us the ability to go out into the field and to  
17 upgrade existing equipment to CBRN status.

18           In the world of the air-purifying  
19 respirators, the number of CBRN/APR approval  
20 holders is five. And we have a total of five  
21 CBRN/APR approvals.

22           The last standard is the CBRN escape.

1 And to date, I think there are no approvals that  
2 have been issued, but we have several applications  
3 that are in the process of being evaluated for  
4 approval.

5 So with that, I would like to turn the  
6 agenda over to Mr. Szalajda, who will review the  
7 plans for the next two days, and go over some of  
8 the protocols for conducting the meeting.

9 Thank you.

10 MR. SZALAJDA: Does this one work? Can  
11 everybody hear me?

12 All right. I guess one thing about our  
13 group, we usually, you know, address the different  
14 technical challenges that come up. And today  
15 apparently is no different with the computer setup,  
16 but we will work on that as the day goes along.

17 One thing that you should know, at least  
18 in terms with regard to this disclaimer, the  
19 purpose of the public meeting is to exchange our  
20 concepts and ideas as far as the requirements for  
21 the different respirator standards. And in turn,  
22 we look to you for feedback on those items.

1           One thing, at this point, until other  
2 documents, other policies are put in place, these  
3 are all conceptual discussions until you are  
4 otherwise notified.

5           The way we are going to proceed today,  
6 the discussions today are going to focus on the  
7 closed-circuit, self-contained breathing apparatus.  
8 And then also what we are doing with regard to CBRN  
9 respirator guidance documents.

10           This morning we're going to focus on the  
11 closed-circuit SCBA going through an overview of  
12 the program as well as changes that have been made  
13 to the conceptual requirements. And also providing  
14 some information on benchmark testing that's been  
15 done over the past several months since our last  
16 public meeting.

17           This afternoon we're going to complete  
18 the discussion on the closed-circuit SCBA and also  
19 provide some input on the guidance documents as  
20 well.

21           As far as the meeting logistics, I think  
22 everyone signed in as far as entering when you

1 entered the room.

2           what we're trying with this public  
3 meeting, or the meetings today and tomorrow, is a  
4 little different in that we usually provide the  
5 information handouts in the back that you could  
6 pick up and take home and make copies.

7           But what we are trying to do at this time  
8 is to provide information on CDs that you can take  
9 back to the office with you to replicate and share  
10 with your colleagues.

11           The meeting is also being transcribed.  
12 The process for getting information remains the  
13 same with regard to the actual -- the meeting  
14 itself.

15           Within a month, we will have the  
16 presentations from today and tomorrow posted on our  
17 website with the actual transcript of the docket as  
18 well as any docket submissions. You would need to  
19 contact the NIOSH docket office in Cincinnati to  
20 obtain these documents.

21           And the contact information for the  
22 docket office you will see throughout the

1 presentations. Also, you will see the contact  
2 information on the back of your agendas.

3           And the way that we have set up the  
4 contact information for today's presentation, there  
5 are two separate docket numbers. One for the  
6 closed-circuit SCBA, which I believe is 039. And  
7 one for the guidance documents, which is 052.

8           If you have any particular questions or  
9 comments that you want to make regarding either of  
10 the concepts we're discussing today, they use those  
11 docket numbers to transmit your information.

12           After each technical presentation, we  
13 welcome your comments. There will be a  
14 microphone -- this microphone up here in the front.  
15 If you would please identify yourself and your  
16 affiliation and provide your question, we will  
17 address it at that time.

18           Also there is some time built into the  
19 program today that if there is -- if you have  
20 information that you would like to share with us,  
21 there will be an opportunity for you to make a  
22 presentation as well.



1           The docket information for the  
2 closed-circuit SCBA, again, it's 039.

3           There's several different ways. There's  
4 snail mail, email, or the telephonic  
5 communications.

6           Just a word about partnerships.

7           You know, one of the things that has been  
8 a note for our program is that we have tried to  
9 develop our standards in partnership with all of  
10 the stakeholders involved with the process, whether  
11 they are users, manufacturers, academics, anyone  
12 that has an interest in the technology as well as  
13 in promoting worker safety and health.

14           And we continue to work with our  
15 partnerships, not only with the other federal  
16 agencies, but also with the stakeholder community  
17 as well.

18           Our program has been funded and continues  
19 to get support from this, originally through the  
20 Department of Justice, National Institute of  
21 Justice, and now through the Department of Homeland  
22 Security, as well as monies that we have received

1 from the CDC to promote our work.

2 what's the importance of the CBRN  
3 standards? And I think with regard to the impact  
4 that the user community sees, it's pretty  
5 significant.

6 And I think the bottom line is if you  
7 look at the grant monies that have been made  
8 available for the responder community, the  
9 Department of Homeland Security signing the  
10 purchase, where possible, of equipment to  
11 standards, to buy equipment that meets a recognized  
12 standard.

13 And for NIOSH, it was important that --  
14 this is an important factor to note, that for the  
15 CBRN respirators, these were among the first  
16 standards that were recognized by the Department of  
17 Homeland Security and tied to the grant funding for  
18 the purchase of equipment.

19 And they have also been recognized by  
20 other organizations. In particular, the NFPA, with  
21 adopting the use of CBRN respirators as a part of  
22 their ensemble requirements.

1           And the last note is we have been having  
2 some discussions with our colleagues in Britain  
3 with regard to implementing these as European  
4 standards because there currently are no CBRN  
5 respiratory protection standards identified in ISO  
6 or any of the UN standards.

7           A little bit about where we have gone and  
8 where we are going. I think probably most people  
9 have seen this in other forums.

10           We are looking during this calendar year  
11 to complete our technical work on the PAPRs, for  
12 the CBRN PAPRs, which we are going to talk about  
13 tomorrow, as well as the closed-circuit SCBAs.

14           What we are looking to in the future is  
15 initiate work on combination units, combination  
16 SCBA/PAPR, SCBA/APR, as well as looking at  
17 addressing any other requirements for respirators  
18 that may be in Part 84.

19           And one other aspect I wanted to bring to  
20 your attention, our standards, or at least our  
21 first standards, have been out in the public  
22 purview for about four years now.

1                   And we have adopted other voluntary  
2 standards for the gas mask and the escape  
3 respirators. And since we adopted these using the  
4 policy provisions that NIOSH has afforded in 42 CFR  
5 Part 84, we think it's a good time now to do some  
6 housekeeping and take advantage of some of the  
7 lessons learned with the application process as  
8 well as with the actual application and conduct of  
9 the testing and the certification process.

10                   And we are going to take a look at  
11 providing some clarifications for our documentation  
12 in making that available as an update to the  
13 community.

14                   And I think the one thing of note -- and  
15 we need to make sure that everybody has this in  
16 mind -- we're not changing -- we're not changing  
17 the requirements that have been identified for any  
18 of these classes of respirators.

19                   But what we are doing is focusing on, you  
20 know, looking at things that we have learned as  
21 part of our testing and some of the local nuances  
22 that may have come up with regard to the process

1 and providing some clarity to that, either in the  
2 statement of standards itself or in the test  
3 procedures, and then reissuing that by the end of  
4 the year.

5 But our focus is -- our plan is to  
6 identify those clarifications and post them to the  
7 web.

8 We would notify the user community  
9 through mailings and email that the standards have  
10 been -- or these drafts have been posted for  
11 comment, have a 30-day review period for the  
12 stakeholder community to make comments back to us,  
13 and then address those comments and release the  
14 updates by the end of the Calendar Year.

15 And so with that, if there are any  
16 questions, any general questions on the program, I  
17 will take them at this time.

18 I just -- as far as a couple of  
19 housekeeping things go though, the restrooms are  
20 located in the back of the facility to the left.  
21 For lunch, you are on your own. There are several  
22 places within a reasonable distance from the hotel.

1           There's some chain restaurants in the  
2 surrounding shopping mall area. There's also the  
3 South Hills Village across the street. There's a  
4 food court as well as some other restaurants  
5 located there.

6           We do ask that if you have cell phones,  
7 if you could put them on vibrate or on the silent  
8 mode for the conduct of the presentations.

9           And with that, are there any questions?

10          MR. KOVAC: Okay. Good morning.

11          What I'm going to talk about are our  
12 efforts at developing standards for closed-circuit,  
13 self-contained breathing apparatus.

14          Our goal is to develop a full-facepiece,  
15 closed-circuit, self-contained breathing apparatus  
16 standard to address the CBRN materials identified  
17 as inhalation hazards or possible terrorist hazards  
18 for emergency responders.

19          The use idea would be for long-duration  
20 missions involving entry into an atmosphere where  
21 contaminant concentrations are IDLH, and which may  
22 not contain adequate O2 Levels.

1           In terms of history, closed-circuit  
2 devices have been deployed since the beginning of  
3 the last century. They have been put to good use  
4 primarily in this country in mine rescue, for  
5 search and rescue and recovery missions in IDLH  
6 environments and constrained spaces.

7           The regulations under which these  
8 apparatus have been approved have afforded a means  
9 for technological improvement. The standards which  
10 the earliest devices were approved are the  
11 standards today that we approve current devices.

12           And again, these devices are used in mine  
13 rescue, and they confer significantly longer  
14 durations because they are closed-circuit.

15           The process that we use to develop  
16 concepts for standards is threefold. We begin with  
17 public process, which is transparent and open to  
18 debate and inquiry. We identify key stakeholders,  
19 in this instance, NFPA. And we form productive  
20 partnerships with them.

21           The standards themselves incorporate best  
22 practice, good experimental science, meaning the

1 standards are reproducible and repeatable.

2           We conduct much of our testing to analyze  
3 where matters stand with current technology. Where  
4 there are drops in our technical knowledge, we  
5 conduct research. And we also subject what we do,  
6 our inquiries, to peer review.

7           The standards themselves focus on  
8 performance and functionality. They begin with the  
9 hazards analysis. They account for human  
10 capabilities while wearing the respirator. Built  
11 in are quality assurance issues. We look at  
12 reliability, and we look also at practical use of  
13 the devices.

14           The model for certifying what we are  
15 going to talk about involves three tiers.

16           NIOSH approval under the program will  
17 signify that a respirator is expected to provide  
18 needed protection to first responders in situations  
19 where an act of terror has released harmful  
20 chemicals, pathogens, or radioactive materials into  
21 the air.

22           And approvals will always be based on



1 positive results from rigorous tests of sample  
2 units submitted to NIOSH by manufacturers and from  
3 stringent evaluation of manufacturers'  
4 quality-control practices, technical  
5 specifications, and other documentation.

6           As I said, it's a three-tiered process.  
7 The devices have to pass Part 482 (sic), loaded on  
8 top of our special requirements in terms of  
9 environmental ruggedness, reliability. And layered  
10 on top of that is CBRN requirements.

11           Next slide.

12           In this particular case, Tier 1, would be  
13 the applicable sections of Part 482.

14           Tier 2 would incorporate and expand upon  
15 NFPA 1981. They would look at a comparable high  
16 work rate performance test; can I get over from  
17 open-circuit to closed-circuit.

18           And we also look at operational  
19 performance of the apparatus in terms of exposure  
20 to high radiant heat and flame and other  
21 environmental requirements.

22           And lastly, we are looking for exposure

1 and permeation of the agent.

2 That's fine. Next slide.

3 Because we are dealing with  
4 closed-circuit devices, the only way to evaluate  
5 their performance is to look at both the delivery  
6 and consumption of oxygen as well as the  
7 effectiveness of the carbon dioxide scrubber.

8 This means that you have to test the  
9 devices in as humanlike a way as possible, but do  
10 so under better control of experimental conditions.

11 So we call for adapting the NFPA 1981  
12 standard on open-circuit devices to closed-circuit.  
13 And in doing so, we advocate the use of an  
14 automated breathing and metabolic simulator for  
15 performance testing.

16 Briefly, a simulator is simply a  
17 computer-controlled breathing machine whereby we  
18 can reproduce conditions of human respiration,  
19 programming it for a variety of work rates and  
20 ventilation rates.

21 Next.

22 And that's about all I have to say, and

1 of course, I'll take questions. And if there are  
2 any, let's have them.

3           okay. Frank, have at it.

4           MR. PALYA: welcome to the NIOSH public  
5 meeting. My name is Frank Palya from NIOSH.

6           I'm going to present the current  
7 requirements of the CBRN closed-circuit,  
8 self-contained breathing apparatus, the concepts  
9 standard, and any updates made to the requirements  
10 and the test methods from the previous concept  
11 paper.

12           okay. Next.

13           The purpose of my presentation is to  
14 discuss the special requirements and updates of the  
15 concept standard. And that will include the  
16 chemical warfare agent permeation and penetration  
17 resistance requirement, and the laboratory  
18 respiratory protection level testing.

19           Next.

20           And the requirements from relevant  
21 sections of NFPA 1981 to 2002 edition and updates.

22           The NFPA 1981 standard is the standard on

1 open-circuit self-contained breathing apparatus for  
2 the fire emergency services.

3           And you're probably asking why as well,  
4 geez, why are you bringing up the open-circuit, and  
5 we are developing standards for the closed-circuit.

6           well, many of these requirements are  
7 relevant, as far as operational performance,  
8 vibration, level of the durability. So they do  
9 transpose right over to the closed-circuit.  
10 However, albeit some slight modifications in the  
11 test methods to accommodate the closed-circuit.

12           But these are some of the requirements  
13 for the operator -- environmental temperature  
14 operational performance, the vibration endurance,  
15 some of the flame resistance, heat resistance  
16 tests, accelerated corrosions, particulate  
17 resistance, the facepiece abrasion resistance,  
18 communication performance, and the heat and flame  
19 operational performance.

20           First I would like to -- I want to dive  
21 into one of these -- each requirement in some  
22 detail here.

1           The update from the previous concept  
2 papers, that we waive the wet-bulb temperature  
3 breathing gas requirement, which was -- it had to  
4 be less than or equal to 50 C, because when you're  
5 conducting these environmental temperature  
6 operational performance tests, you're cold soaking  
7 these; you're hot soaking these; there's extreme  
8 temperatures; and, plus, you're testing the  
9 apparatus.

10           So there would be no way to get in there  
11 and still meet this requirement. It's just -- it  
12 wasn't realistic.

13           The test conditions still remain the  
14 same, though.

15           For the vibration endurance requirement,  
16 the requirement was updated, and it changed the  
17 vibration profile from the U.S. Highway Truck  
18 vibration profile, much like we used in the APR, to  
19 the profile specified in NFPA 1981.

20           The reason why we did this was that the  
21 closed-circuits would have to be as durable as the  
22 open-circuit to become CBRN certified for NIOSH.

1           Next is the fabric flame resistance  
2 requirement. The requirement for fabric flame  
3 resistance remains the same as in the previous  
4 concept paper.

5           The requirement is when it was tested in  
6 accordance with ASTM D 6413 is that the fabric  
7 average char length is less than or equal to four  
8 inches, and the fabric average after flame is less  
9 than or equal to two seconds.

10           The test method was changed to use ASTM D  
11 6413 when an apparatus is not on the wire lattice  
12 test frame that is specified in 1981.

13           This method was updated to use ASTM D  
14 6413 because test standard of 191A is being phased  
15 out, so it's going to be replaced by ASTM D 6413.

16           All right. The fabric heat resistance  
17 requirements will be the same. The fabric shall  
18 not melt or ignite when tested in accordance with  
19 the NFPA 1981 Section 8.5.

20           Again, the -- we updated the test method  
21 because our federal test method 191A is being  
22 phased out.

1           For the thread heat resistance  
2 requirement, it remains the same, and it is that  
3 the thread shall not melt or ignite when tested in  
4 accordance with NFPA 1981, 8.6.

5           It's basically the same test method, but  
6 it's better defined by specifying NFPA 1981, and  
7 also that standard of 191A is being phased out.

8           Next.

9           The requirement, the accelerated  
10 corrosion resistance requirement is the same as in  
11 the previous concept paper.

12           And that is, after being subjected to  
13 accelerated corrosion, the SCBA apparatus must meet  
14 the performance requirements in Section 3.1, as in  
15 Table 1.

16           The test method didn't change for the  
17 accelerated corrosion resistance, which uses the  
18 MIL Standard 810F, Method 509.4.

19           The next one.

20           The requirement is the same as in the  
21 previous concept paper for the particulate  
22 resistance requirement.

1           And the requirement must be the  
2 operational performance requirements in Section  
3 3.1, the apparatus, while being subject to the  
4 particulate dust.

5           However, this is a very difficult test  
6 when you are trying to attach it to the ABMS  
7 because you are trying to minimize the trachea tube  
8 length.

9           So there was some slight modifications  
10 done to this test method because what it was was  
11 the headphone was placed against the wall of the  
12 dust chamber while the apparatus was been tested.

13           In 1981, the apparatus is right in the  
14 middle of the dust storm, facing the dust. And  
15 halfway through the test, it was rotated 180  
16 degrees.

17           Well, this wasn't possible with the ABMS  
18 because, again, we were trying to shorten the  
19 length of the trachea tube.

20           The requirement test methods are the same  
21 that were identified in the previous concept paper  
22 for the facepiece lens haze, luminous transmittance



1 and abrasion resistance requirement.

2           And the requirement is that the change in  
3 haze has to be less than or equal to 14 percent.  
4 The test method used to test this requirement is  
5 the NFPA 1981 Section 8.9.

6           The communications performance  
7 requirement test methods are the same as were  
8 described in the previous concept paper.

9           And it requires that the average  
10 calculated value must meet or exceed 70 percent  
11 when the communication test is conducted in  
12 accordance with NFPA 1981 Section 8.10.

13           The heat and flame operational  
14 performance requirement, the -- it was changed.

15           Again, we waived the wet-bulb temperature  
16 breathing gas requirement of -- the breathing gas  
17 has to be less than or equal to 50 degrees C as  
18 stated in Table 1.

19           This test presents us with some technical  
20 challenges because on the open-circuit, the  
21 apparatus is tested in operational mode.

22           And, again, if you're going to test a

1 closed-circuit in operational mode, you're  
2 interfacing with the ABMS. And if you understand  
3 this test method, it moves on the track from the  
4 oven, and then it goes into the open flame.

5           And that's very hard to do because,  
6 again, you're trying to shorten the length of the  
7 trachea tube.

8           However, in other words, if you want to  
9 get it into the full operational condition, you  
10 have to have a full oxygen cylinder. And having a  
11 full oxygen cylinder around high temperatures and  
12 open flames is really not a great idea because of  
13 the explosion hazard.

14           So those are -- there are still some  
15 technical challenges that we're working out with  
16 this test method here.

17           Next slide, please.

18           The next is the -- I'm going to discuss  
19 the chemical warfare agent penetration and  
20 permeation resistance requirement.

21           GB and HD agents will be used to test the  
22 chemical warfare agent permeation and penetration

1 resistance requirement. Basically it hasn't  
2 changed from the previous concept paper.

3 This test will also -- will be conducted  
4 with an ABMS while the apparatus is mounted on a  
5 SMARTMAN test mannequin.

6 These are some of the test parameters for  
7 the GP. The vapor challenge will be 2,000  
8 milligrams per meter cubed. The maximum  
9 breakthrough -- level breakthrough would be 0.087  
10 milligrams per meter cubed.

11 When there are three consecutive peak  
12 readings of that, it constitutes a failure, or it  
13 shall not exceed 2.1 milligrams per meter cubed,  
14 Ct.

15 This is the same requirement as the  
16 open-circuit.

17 However, the test times was changed.  
18 Before, we had six hours for the total -- the total  
19 test time now is the applicant's identified  
20 duration plus one hour.

21 The breathing rate was also changed. We  
22 had a variable breathing rate of 40 and 100. Now,

1 we keep it at a constant 30 liters per minute.

2 That's the standard temperature and pressure dry.

3 That's 30 liters per minute at that  
4 standard temperature. But at room temperature, it  
5 basically equates to around 40 liters per minute.

6 Also on this, in order to keep it with  
7 the same -- keep the test method the same, we are  
8 going to try to incorporate a dilution. I mean, it  
9 will dilute the same profile as the open-circuit  
10 would.

11 Because with the closed-circuit, there's  
12 no fresh air flushing out the agent out of the  
13 challenge chamber.

14 So we're going to try to work on a  
15 profile that the decay or dilution of the agent out  
16 of the challenge chamber will be the same as the  
17 open-circuit.

18 For the HD mustard, the vapor challenge  
19 is 300. The liquid challenge is 0.86 milliliters.  
20 Again, this is the same as the open-circuit. These  
21 are the maximum breakthroughs.

22 The vapor challenge will be for the first

1 30 minutes. The liquid challenge would be  
2 throughout the duration of the entire test.

3 And the test time -- or minimum service  
4 time would be the applicant's identified duration  
5 plus one hour. And, again, the breathing rate  
6 would be 30 liters per minute.

7 The next is the laboratory respirator  
8 protection level testing.

9 This is the fit-factor or corn oil  
10 aerosol test.

11 what it does, it just measures the inside  
12 of the -- concentration on the inside of the  
13 respirator to outside the respirator. And then it  
14 develops the ratio.

15 The purpose of this test is to establish  
16 a benchmark level of protection under laboratory  
17 conditions. It is not intended as an indication of  
18 protection in an actual respirator scenario.

19 For the LRPL, it has to be greater than  
20 or equal to 10,000 when a human subject tested with  
21 the entire apparatus on.

22 Now, what we did do is we added an

1 additional requirement where the LRPL would have to  
2 be greater than or equal to 500 for each human  
3 subject when just the facepiece is tested with a  
4 filter on.

5           So it's -- again, it's pretty similar to  
6 the open-circuit.

7           The -- when -- there will be eight  
8 systems tested there, full systems, and they must  
9 meet -- must fit two small, four medium, and two  
10 large facial sizes.

11           Again, we are doing this to fit the Los  
12 Alamos panel.

13           These are some of the exercises from the  
14 LRPL test: Normal breathing, the deep breathing,  
15 the head turn side to side, the head movement up  
16 and down, recite the rainbow passage, sight a mock  
17 rifle, reach for the floor and ceiling, on hands  
18 and knees and look side to side, facial grimace,  
19 climb stairs at a regular pace, and normal  
20 breathing.

21           There are eight basic U.S. Department of  
22 Labor or OSHA quantitative fit test exercises, plus

1 three additional quantitative fit test exercises  
2 generated from the emergency response forms, and  
3 they are indicated by the plus signal on it.

4 These will be one-minute routines devised  
5 to stress the face sealing material, the integrity  
6 of a respirator facepiece.

7 And the protection factor is measured for  
8 each exercise. Doing the overall LRPL is a  
9 harmonic average of individual PS of the level of  
10 exercises. That's the overall.

11 And that concludes my presentation.

12 And it's time I will address any  
13 questions.

14 MR. LINKO: My name is Bill Linko. My  
15 company is Microne1 US.

16 On the last subject matter, what about  
17 coughing and regurgitation, you know, urgency and  
18 explosions and so forth or dealing with something  
19 which causes greater -- so when you cough, you have  
20 maximum positive pressure in the mask.

21 Is that in the exercise anywhere?

22 MR. PALYA: No, no, sir. That wasn't in

1 it.

2 MR. LINKO: The second question is once  
3 you expose the equipment to a chemical, biological,  
4 how do you decontaminate it? Or is it a one-shot  
5 deal?

6 MR. PALYA: Yeah. Once it's contaminated  
7 with agent, it's --

8 MR. LINKO: It's gone?

9 MR. PALYA: -- it's deconned and  
10 disposed of.

11 MR. LINKO: Okay. So it's a one-shot  
12 deal?

13 MR. PALYA: Yes, sir.

14 MR. LINKO: Thank you.

15 MR. BERNDTSSON: Goran Berndtsson from  
16 SEA.

17 The change in the total testing to  
18 manufacturers' operational times plus one hour,  
19 shall we read that as a new policy? Is that what  
20 you are going to do?

21 I mean, it -- on equipment from now on --  
22 before you have always had six hours there. And



1 now you are going to the manufacturers' operational  
2 time plus one hour.

3 Is that only for this type of equipment,  
4 or are you going to use that for other standards in  
5 the future as well?

6 MR. PALYA: Yeah. That was just for this  
7 because -- yeah.

8 MR. BERNDTSSON: I thought that that had  
9 something to do with the overall exposure.

10 I mean, when you look on your guidance  
11 documents for using respirators, it -- the  
12 permeation test is giving you the overall time you  
13 can use that piece of equipment.

14 MR. PALYA: Well, the thing is that with  
15 the closed-circuit -- with the open-circuit, you  
16 have had a way of going ahead and replacing your  
17 cylinders.

18 MR. BERNDTSSON: Uh-huh.

19 MR. PALYA: With the closed-circuit,  
20 there is just no way to go ahead there and replace  
21 a lot of that internal scrubbers and all.

22 MR. BERNDTSSON: When it comes to the 500

1 per model, I mean, what's the logic with that?

2 MR. PALYA: Pardon me?

3 MR. BERNDTSSON: When you have the total  
4 leakage test where you are doing 10,000 per system  
5 and 500 per model, what is the logic of testing the  
6 model?

7 MR. PALYA: Again, we wanted to go ahead  
8 there and ensure that you capture and fits the  
9 whole Los Alamos panel.

10 Get facial sizes, so that it will meet  
11 the whole, you know, that they are capable of --  
12 the certified respirator will meet the whole realm  
13 of facial sizes within the Los Alamos panel.

14 MR. BERNDTSSON: Well, couldn't you do  
15 that to complete systems?

16 MR. PALYA: No. No. Just there's eight  
17 systems that -- there's just eight systems that  
18 were test -- complete systems we're testing for  
19 10,000.

20 And then the other facepieces were just  
21 going -- the facepieces with the filter, and they  
22 will have to be the ones that test the entire --

1 MR. BERNDTSSON: I understand that.

2 But is the logic to try to cut down on  
3 the testing cost or the cost of submitting  
4 equipment? And if that is the logic, are you going  
5 to apply that to the other pieces of equipment that  
6 we are sending in for approval as well?

7 MR. SZALAJDA: Well, I think part of this  
8 for the closed-circuit, when you look at the cost  
9 of these systems are -- they are very expensive.

10 So we looked at modifying the LRPL. We  
11 are doing a two-phase requirement for the LRPL to  
12 reduce the cost burden of the applicant when they  
13 submit them.

14 So the requirement was split so that you  
15 have the modified LRPL panel which addresses the  
16 small, medium, and large portions of the panel.  
17 And then you use the -- and that's tested at  
18 10,000.

19 And then you use the 500 fit factor value  
20 on the whole panel just in an effort to minimize  
21 the cost.

22 But I think you have a good point with

1 regard to, you know, looking at this on other types  
2 of systems.

3 And we will take that under advisement.

4 MR. BERNDTSSON: Yeah. Because the cost  
5 of some of the other equipment is pretty high as  
6 well.

7 And I mean, the numbers of samples you  
8 have in the draft is -- I mean, you -- they could  
9 be values up to 100,000 US equipment cost to submit  
10 to you.

11 MR. PALYA: Uh-huh.

12 MR. SZALAJDA: Yeah. We will take that  
13 under advisement.

14 MR. PALYA: Another thing is when you are  
15 testing this, there's a lot of hygiene factor, too,  
16 as far as reusing the same respirator when you are  
17 testing different human subjects, as well.

18 MR. SELL: My name is Bob Sell with  
19 Draeger Safety.

20 Going back to what Goran was saying, I'm  
21 still quite hazy about the reason for the two tests  
22 on the LRPL at 10,000 and 500.

1           If I understand correctly, at the 500  
2 level, you are still doing the -- whatever number  
3 of max, depending upon facepiece sizes.

4           And then you are also doing a system test  
5 just testing eight and using the various sizes.

6           Again, I'm not -- I'm still a bit hazy on  
7 this requirement.

8           MR. PALYA: Okay. Well, let me better  
9 explain this.

10           We want to find out how the overall  
11 system -- I mean, it doesn't meet or exceed the  
12 10,000 mark.

13           And then once we identify the yes, it  
14 does meet it, the overall system does get that high  
15 of a PF, now we want to make sure that we capture  
16 the whole realm of facial sizes.

17           So that's why this test is pretty much  
18 twofold.

19           Okay? I mean ...

20           MR. SZALAJDA: Well, I think to follow  
21 along with Frank, I think there's some precedence  
22 here when you look at the other standards, you

1 know, for the open-circuit SCBA.

2 We tested 500.

3 And, again, it's to provide -- to assure  
4 that the fit of the facepiece is providing the  
5 degree of fit to the individual, and also that you  
6 are fitting the panel, that your respirator is  
7 fitting the -- the requirements of the Los Alamos  
8 Panel. So that's one.

9 And then the other precedents are for  
10 doing a modified LRPL.

11 If you look at our APR standard, when you  
12 look at the -- what we do with the modified LRPL  
13 and for the interchangeability, or to evaluate  
14 interchangeability, that we look at a smaller  
15 number.

16 But, again, that's -- you know, we looked  
17 at those two standards for precedents.

18 And, again, getting back to the costs,  
19 you know, associated with these, I don't think, you  
20 know, it didn't seem reasonable to us to ask an  
21 applicant to submit, you know, 30 or 40 of these  
22 full-up systems to conduct this test at 10,000, you

1 know, with the costs associated with this type of  
2 technology.

3 So we wanted to assure ourselves that the  
4 facepiece was fitting the panel. And that's why we  
5 looked at the other standards for precedence with  
6 testing the facepiece in a negative pressure type  
7 scenario with the open-circuit.

8 And we applied that thought to this  
9 device.

10 MR. SELL: And then the pass/fail  
11 criteria for the system test would be zero  
12 failures, similar to the APR then?

13 MR. PALYA: Yes.

14 MR. SELL: And then you also consider  
15 about taking this same type of rationale as Goran  
16 had mentioned to the other documents that are  
17 already out, the open-circuit and things like that?

18 MR. SZALAJDA: Well, we will have to look  
19 into that.

20 I'm not sure what -- you know, at least  
21 with regard to the requirements that go back, you  
22 know, and then look at what we have already done.

1                   But we will do an evaluation the next  
2 time we need to get together in one of these  
3 forums, we will let you know.

4                   MR. PALYA: The thing is when you're  
5 using human subjects, again, there's a hygiene  
6 issue with these.

7                   I mean, as far as going ahead and  
8 really -- I mean, it's one thing if it's an  
9 air-purifying respirator where you go ahead and  
10 sanitize the respirator.

11                   But now you have a system where your  
12 exhaled breath is going through a scrubber and it's  
13 going through all the plumbing in there, and then  
14 breathing it back out.

15                   So, you know, we didn't really want to  
16 require a lot of those full systems. But, yet, we  
17 wanted to see how well the LRPL values were, if  
18 they were above 10,000.

19                   MR. SELL: But wouldn't any of the bench  
20 testing that you have done kind of indicate that  
21 there may not be an issue in this area?

22                   MR. PALYA: Well, at this time, you know,



1 I mean, with some of the bench testing, yeah. I  
2 mean, we got some good values for that, but we  
3 still want to confirm it in a future certification.

4 I mean, there may be others coming down  
5 the pike, too. There may be other systems  
6 manufacturers bringing new items on, so we want to  
7 confirm those.

8 MR. SELL: And another thing is that as a  
9 manufacturer, a slight issue is the cost of this  
10 equipment is awfully expensive.

11 MR. PALYA: Yes.

12 MR. SELL: And so you have just added in  
13 another set, another eight units for certification  
14 purposes, when there's going to be a lot of other  
15 units also being submitted similar to the NFPA  
16 requirements with those.

17 So I mean, it becomes a very expensive  
18 endeavor here.

19 MR. PALYA: With the NFPA?

20 I mean, these --

21 MR. SELL: Well, as far as the NFPA,  
22 there's a lot of SCBAs that are used.

1           MR. PALYA: There's -- I believe there's  
2 eight full systems.

3           And then many of those are, if you looked  
4 at the little chart in the back of the standard,  
5 the table, a lot of them will be used for  
6 communications.

7           MR. SELL: Right.

8           MR. PALYA: We try to use them as wisely  
9 as possible, even with the agent testing.

10          I mean, those are a one-time shot there.

11          MR. SELL: Right.

12          MR. PALYA: But as far as the  
13 communication test, the LRPL test, we are trying to  
14 be very prudent when we go through this testing,  
15 you know, scheme, and try to use as little as  
16 possible on those.

17          So, again, you know, I mean -- plus, we  
18 want to meet -- we had to meet a lot of these  
19 requirements that were up here as far as the  
20 communication requirements and the other  
21 requirements.

22          So we try to go with as minimal as

1 possible and yet try to meet all of these  
2 requirements to satisfy our needs here.

3 MR. SELL: Okay.

4 MR. PALYA: Okay. I would like to  
5 introduce our next speaker, Mr. Kyriazi.

6 MR. KYRIAZI: Good morning, my name is  
7 Nick Kyriazi. I'm with the NPPTL Group also. And  
8 I'm here to talk about the same thing everybody  
9 else is talking about, just in much more detail.

10 I'm going to talk about anything that has  
11 to do with simulator testing of the closed-circuit  
12 apparatus.

13 Here is a picture, and a schematic of the  
14 simulator for those who are interested. Just  
15 briefly, the simulator moves air back and forth  
16 from the lung to the mouth.

17 So in addition -- and in addition to  
18 moving air, it also heats and humidifies gas and  
19 simulates CO2 production from a cylinder here. And  
20 simulates oxygen removal with this vacuum pump.

21 The latest concept standard includes  
22 changes to both the work rate and the stressor

1 level limits.

2           The moderate work rate has been adjusted  
3 to be more humanlike.

4           If you will recall, this is a chart of  
5 the ventilation rate for the proposed protocol.

6           For the first half an hour, the  
7 ventilation rate is 100 liters a minute. That is  
8 the entirety of the open-circuit standard for at  
9 least the 1,200 liter apparatus.

10           At that, that after 12 minutes at 100  
11 liters a minute, the 1,200 liter apparatus are  
12 empty.

13           For a closed-circuit apparatus, they will  
14 not be empty, so what do you do next?

15           And what -- it was decided that a person  
16 could not go for very much longer at a ventilation  
17 rate of 100 liters a minute for the full capacity  
18 of the closed-circuit apparatus.

19           So the NIOSH open-circuit ventilation  
20 rate of 40 liters a minute was chosen to complete  
21 that half an hour.

22           Now, the next four half an hour periods

1 are composed of the, again, the NIOSH ventilation  
2 rate of 40 liters a minute, except for the last  
3 five minutes where we go back up to the NIOSH -- I  
4 mean, the NFPA 1981 100 liters per minute.

5 Repeat that cycle four times and then  
6 continue at the NIOSH work rate, the moderate work  
7 rate of 40 liters a minute until the apparatus is  
8 empty.

9 The moderate work rate changes are listed  
10 here.

11 The ventilation rate, as I said, was  
12 unchanged from 40 liters per minute. And this is,  
13 for those who are interested, absolute volume  
14 displacement or the lung temperature, that 40  
15 liters a minute measured at the lung temperature.

16 The VO<sub>2</sub> is being reduce from 1.60 to 1.35  
17 liters a minute STPD, standard temperature pressure  
18 dry.

19 The CO<sub>2</sub> production is being reduced from  
20 1.60 to 1.15 liters a minute.

21 The respiratory frequency is decreasing  
22 from 24 to 18 breaths a minute.

1           In essence, what this is doing is overall  
2 making the waveform look more humanlike.

3           And the title volume is going up. The  
4 respiratory frequency is going down.

5           And whenever we were running the previous  
6 work rate, the original numbers, we were getting in  
7 title CO<sub>2</sub>s of 10 percent, which is extremely  
8 unhumanlike.

9           I'm not sure how -- I was a member of the  
10 NFPA 1984 committee, and I don't really now know we  
11 came up with that, but it was 20 years ago.

12           Here are some of the stressor level  
13 limits that we are recommending or that we have  
14 proposed to be changed.

15           Exhalation peak pressure, we're  
16 increasing from 89 to 200 millimeters of water  
17 pressure. Average inhaled CO<sub>2</sub> is increasing from 2  
18 to 4 percent.

19           Average inhaled oxygen concentration  
20 being reduced from 19.5 to 15 percent. And the  
21 inhaled wet-bulb temperature is being increased  
22 from 45 to 50 degrees centigrade.

1 Justifications for these.

2 The new stressor level limits are based  
3 on human physiological tolerance, not tradition or  
4 apparatus capability.

5 This is simply what people can tolerate.

6 If a stressor level exceeds its limit for  
7 more than one minute in the proposed test, the  
8 apparatus fails.

9 Keep in mind that the high stressor  
10 levels, if there are high stressor levels, they  
11 will occur during the high work rates.

12 At low work rates the stressor levels  
13 will be low.

14 If the stressor levels are already high  
15 at low work rates, when we get to the high work  
16 rates, they will exceed the stressor level limits.

17 And also remember that the high work  
18 rates are not sustainable for long periods of time.

19 Therefore, the user will not be exposed  
20 to the high stressor levels for any length of time.

21 Another note, if an apparatus is  
22 engineered to be comfortable at the highest work

1 rate at which it is ever likely to be used, it will  
2 be bigger and heavier than it need be for normal  
3 work rates.

4 Here, I'm contrasting the current NIOSH  
5 42 CFR 84 testing with the proposed CBRN testing,  
6 just two measures of comparison.

7 In the present regulations, the breathing  
8 pressures are measured on a breathing machine test,  
9 which is just an air mover with no humidity or  
10 carbon dioxide being injected into the circuit.

11 In the proposed CBRN testing, the  
12 pressure is measured on a simulator with humidity  
13 and carbon dioxide, which elicits a more humanlike  
14 performance.

15 In the current testing, the CO<sub>2</sub>, O<sub>2</sub>  
16 temperature are measured only during rest periods  
17 on the human subject tests.

18 In the proposed testing, it will be  
19 measured -- all three, CO, O<sub>2</sub>, and temperature will  
20 be measured continuously, including during the high  
21 work periods.

22 So we will see everything that the user



1 experiences.

2           Also, some definitions and some detail  
3 background. The ventilation rate versus the peak  
4 flow rate.

5           Ventilation rate is as stated there.  
6 It's a minute-volume of exhalations. So over a  
7 minute period, if you simply collect everything  
8 that a person exhales, that is called the  
9 ventilation rate or the minute-volume.

10           The peak flow rate is during any one  
11 breath, what is the instantaneous, the high  
12 instantaneous flow rate.

13           Here is a simulator waveform.

14           It's just a sinewave, and not a lot of  
15 people believe this, but this gives you an idea.  
16 Here is the exhalation and then the inhalation.

17           This is the instantaneous flow rate here  
18 for this particular waveform.

19           So you can see, we have a peak exhalation  
20 flow rate of, looks like about 175 or 180 liters  
21 per minute.

22           Again, contrasting minute-volume versus

1 peak flow rate, for the moderate work rate, the  
2 NIOSH one, which has a ventilation rate of 40. The  
3 peak flow during that ventilation rate or during a  
4 particular breath in that minute of collecting  
5 those breaths, is 115 liters a minutes.

6 For the NFPA, the 103 -- the ventilation  
7 rate is targeted to be 103 liters a minute, and  
8 the -- but the peak flow rate during the breath is  
9 255 liters a minute.

10 Note that the peak pressure will occur at  
11 the peak flow rate. And we define resistance as a  
12 pressure at a particular flow rate.

13 There's -- even in the literature,  
14 resistance and pressures are interchangeably used,  
15 and we do not do that.

16 But resistance is defined as a pressure  
17 at a particular flow rate.

18 And a resistance, a given resistance, say  
19 a straw or some sort of an orifice that you are  
20 trying to breathe through, it will exhibit  
21 different pressures at different flow rates. The  
22 faster you blow through it, the higher the pressure

1 buildup behind it.

2           We came up with this -- with a test  
3 stand, a variable resistance test, in order to  
4 determine that the -- or to try to link the current  
5 pressure level limit with the proposed and the NFPA  
6 current pressure level limit.

7           And what we did was we adjusted a  
8 variable resistance -- or the question was -- that  
9 we wanted to answer was, if an apparatus exhibits a  
10 pressure of 51 millimeters of water, which is the  
11 NIOSH pressure level limit, at the 40 liter a  
12 minute ventilation rate, which has a peak flow of  
13 115, what pressure will exhibit at the NFPA  
14 ventilation rate of 103 liters a minute, which has  
15 a peak flow rate of 255 liters a minute.

16           Here is the test stand that we rigged up,  
17 and we were able to connect a variable voltage to a  
18 fan which blew air through a variable resistance  
19 right here. And we measured the pressure right in  
20 front of the resistance and measured the flow rate  
21 after the resistance with a pneumotach, after the  
22 variable resistance.

1           We found that -- we adjusted the -- we  
2 adjusted a flow of 115 liters per minute, and we  
3 adjusted the variable resistance until we got a  
4 resistance -- until we got a pressure, I should  
5 say, of 51 millimeters of water.

6           Whenever we increased that flow rate up  
7 to 255, the pressure now, for the same resistance,  
8 the pressure went up to 225 liters per minute,  
9 which is higher than our recommended limit of 200,  
10 the tolerance level for people, we believe.

11           This is where we drive the pressure level  
12 limits.

13           This was done at Penn State whenever we  
14 were funding research there in physiology. We had  
15 ten subjects, five firefighters, two mine rescue  
16 workers, two scuba divers, and the professor who  
17 ran the study were involved with this pressure  
18 test.

19           And we found that -- you can see here,  
20 for a pressure, when people were subjected to a  
21 pressure of 50 millimeters of water, 100 percent of  
22 them could tolerate that for four minutes.

1 Same with 100 millimeters of water  
2 pressure, 100 percent of them could tolerate it.

3 150 millimeters of water pressure, still  
4 100 percent of the subjects, all ten of them, could  
5 tolerate that pressure.

6 80 percent of them -- or let's say two  
7 out of ten dropped out whenever the pressure got to  
8 200 millimeters of water pressure.

9 And you can see down the line who was  
10 able to tolerate what breathing pressures.

11 And now, if we have any questions, you  
12 can send them to this email. Goodbye.

13 MR. HEINS: My name is Bodo Heins from  
14 Draeger Safety.

15 I would like to suggest, again, to change  
16 the beginning of the high breathing rate for 100  
17 liters per minute, not to do at the beginning, but  
18 after this first cycle is 40 liter. It's much more  
19 the characteristic of the closed-circuit breathing  
20 apparatus.

21 MR. KYRIAZI: I agree with you that it is  
22 more characteristic of the breathing apparatus

1 in -- probably in actual use, but we were fairly  
2 much obligated to reproduce the NFPA 1981 tests for  
3 open-circuit apparatus, which began and ended at  
4 100 liters a minute.

5 MR. HEINS: In open breathing apparatus,  
6 not in closed-circuit breathing apparatus.

7 You have here to fulfill the practices of  
8 for CO2 binding unit, so that normally needs a  
9 little bit of time to become active 100 percent.

10 MR. KYRIAZI: We will take that under  
11 consideration.

12 MR. BERNDTSSON: Goran Berndtsson from  
13 SEA.

14 Can you explain to me how we get 103  
15 liters to get to 115 liters?

16 I mean, it's -- if you have a long  
17 inhalation and longer exhalation time.

18 MR. KYRIAZI: Back up to the sinewave.  
19 Okay. There we go.

20 Here is -- I'm not where this waveform  
21 came from, but there is a peak flow of 175 liters a  
22 minute, but that does not mean that -- if this were

1 a square wave and it was 175 liters a minute from  
2 beginning of exhalation to the end of exhalation,  
3 then immediately dropped down like a square wave,  
4 the same thing on the other side, that would be a  
5 ventilation rate or a minute-volume of 175 liters  
6 per minute.

7 But people don't breath, in general, like  
8 square waves. They breathe more like this or maybe  
9 a waveform like that, or a blended sinewave, or  
10 something like. But the peak flow rate is not the  
11 minute volume.

12 And if you are asking where I got 255,  
13 that is from the NFPA 1981 standard. They list a  
14 chart where they specifically detail the waveform.

15 MR. BERNDTSSON: You have to check the  
16 calculation.

17 Because if you take 103 liters, if you  
18 equal the inhalation, exhalation time, you will  
19 have 320 liters peak flows.

20 So if you make it shorter inhalation  
21 time, you will have a higher inhalation peak flow.  
22 If you make it longer, it will be short, but then

1 you will have it on the exhalation side.

2 So you have to look on your facts. It  
3 can't be right.

4 MR. KYRIAZI: well, from -- I have a 1981  
5 book here, and what it does is lists a change in  
6 volume every 1,000th of a second, or something like  
7 that.

8 And if you simply divide the -- one of  
9 the increments, the highest change in volume over  
10 that amount of time, you get 255 liters per minute.

11 MR. BERNDTSSON: The book must be wrong.

12 MR. KYRIAZI: well, we will look into  
13 that.

14

15 MR. FLYNN: Hi, Bill Flynn from  
16 Biomarine.

17 My question has to do with the CFR  
18 breathing rates that are required to be met before  
19 you can even submit to the CBRN standard.

20 And the fact that in this CFR, the  
21 open-circuit systems are allowed a much higher  
22 exhalation resistance compared to the



1 closed-circuit systems.

2           And I was wondering whether or not there  
3 would be some consideration to give us an equal  
4 footing or take that consideration over into the  
5 proposed breathing rates that are in the current  
6 standard.

7           MR. KYRIAZI: Yes. We are aware that the  
8 present regulations don't seem to be -- there  
9 doesn't seem to be a parity between open- and  
10 closed-circuit, and they are certainly not based on  
11 physiology.

12           But changing 42 CFR 84 is not an easy  
13 task. So we will take that under consideration.  
14 Thank you.

15           No other questions? Thank you.

16           MR. REHAK: Good morning. My name is Tim  
17 Rehak.

18           I'm with NIOSH/NPPTL, and I will be  
19 talking about the benchmark testing that was  
20 conducted on the closed-circuit SCBA since the last  
21 public meeting.

22           Okay. The benchmark tests that we

1 conducted so far were the laboratory respiratory  
2 protection level, the LRPL test.

3 We conducted modified heat and flame  
4 tests. We also did the accelerated corrosion  
5 resistance and the particulate resistance.

6 Okay. First I will review the LRPL.

7 The procedures that we followed for this  
8 test are the same as the existing NIOSH CBRN LRPL  
9 tests. And I believe the standard test procedure  
10 is on our website.

11 The tests were conducted at the U.S. Army  
12 Research Development and Engineering Command in  
13 Edgewood.

14 We used equipment from two different  
15 manufacturers. Eight subjects were used for this  
16 test. Each subject went through two trials.

17 For this we used, again, equipment from  
18 two manufacturers. Two of the eight subjects were  
19 under Manufacturer A's apparatus, and two were  
20 under Manufacturer A facepiece with filter adaptor  
21 plus a P-100 filter, and likewise for Manufacturer  
22 B.

1           The pass/fail criteria, as Frank alluded  
2 to previously, for the full system, it has to be  
3 greater than or equal to 10,000. And with the  
4 filter adaptor, it has to be greater than or equal  
5 to 500.

6           And, again, Frank, in his presentation,  
7 covered the exercises. I'm not going to go through  
8 them since Frank already did it, but each of the  
9 exercises were conducted for one minute.

10           The results through all the testing, 16  
11 total, we had one subject that was wearing a filter  
12 adaptor that did not pass the LRPL of 500. And the  
13 reason for this was because their hairline was down  
14 into the periphery. And it was a one-size-fits-all  
15 mask, so no resizing was able to be done.

16           So the conclusion that we reached was  
17 that current closed-circuit SCBAs would be able  
18 to -- or should be able to pass existing LRPL  
19 tests.

20           All right. Next was the heat and flame  
21 resistant.

22           The treatment is covered in Section

1 8.11.5 of NFPA 1981, 2002 Edition. The  
2 treatment -- the units are exposed to 95 degrees  
3 centigrade for 15 minutes in the oven.

4 Next, it is brought out of the oven and  
5 exposed to direct flame contact for ten seconds.

6 Then after this, the mannequin with the  
7 apparatus is raised to 150 millimeters, and then  
8 dropped freely.

9 And note, just like Frank says, the  
10 challenge that we had to face. We did the tests at  
11 Intertek Testing Services in Cortland, New York.  
12 And for safety concerns, they didn't want the test  
13 conducted with live oxygen cylinders.

14 Again, for this, we used equipment from  
15 two different manufacturers, and a total of two  
16 closed-circuit devices were tested.

17 Okay. Some of the problems that we  
18 noted, there was afterflame beyond 2.2 seconds at  
19 one of the hoses for the apparatus.

20 Also, one of the harnesses had  
21 afterflames -- an afterflame beyond 2.2 seconds  
22 along with the facepiece hose connector.

1           These afterflames caused a hole to be  
2 burnt through in the hose and also with the  
3 facepiece hose connector. And after the drop test,  
4 one of the backpacks fell off the mannequin.

5           We also later on noticed that one of the  
6 bypass valves was fused shut along with the oxygen  
7 bottle strap was burnt through.

8           And, again, note, we used existing  
9 closed-circuit devices that are currently on the  
10 market, and these devices are not hardened to go  
11 through this type of test, and so we did anticipate  
12 problems of this type.

13           And that is, again, one of the reasons  
14 why we didn't use live oxygen cylinders when we  
15 conducted these tests.

16           Okay. After the heat and flame  
17 treatment, we brought the units back to our  
18 facility where Nick ran ABM tests after  
19 retrofitting the devices.

20           And, again, like we had the one with the  
21 hose that was burnt through, we replaced the hoses  
22 with new ones.

1           With one of the units -- with the first  
2 unit, we noticed no difference with the ABM test  
3 results from untreated units. And this test was  
4 terminated after 240 minutes because the oxygen  
5 bottle was empty.

6           With the other unit, again, we noticed no  
7 difference from untreated units. And this test was  
8 terminated after 167 minutes because the oxygen  
9 cylinder was empty.

10           Conclusions: Heat and flame treatment  
11 did not adversely affect the performance when  
12 compared to untreated units.

13           The accelerated corrosion resistance.  
14 This treatment is mil standard 810F, the  
15 environmental test method, Method 509.4, the salt  
16 fog.

17           The test conditions: The apparatus is  
18 exposed to 5 percent plus or minus 1 percent of  
19 salt fog for 24 hours.

20           After this, it was put in a drying  
21 chamber which is set at 35 degrees C, plus or minus  
22 two degrees, for 24 hours. And two cycles of the

1 above is completed for the device.

2           Again, for this test, we used two  
3 closed-circuit devices, one each from two different  
4 manufacturers.

5           The results: No damage to the control  
6 and operating features of the devices.

7           Again, these were brought back and tested  
8 on the ABMS test protocol, and there was no  
9 difference from untreated units.

10           Next, we did a particulate resistance  
11 test. This is treatment mil standard 810F, method  
12 510.4, Procedure 1, blowing dust with modified NFPA  
13 1981 test procedures.

14           As Frank alluded to in his presentation,  
15 the closed-circuit SCBA was not rotated during the  
16 test because it was attached to the headform in  
17 lieu of a torso or a mannequin.

18           And this was done to minimize the trachea  
19 tube length between the ABMS and the SCBA.

20           Again, the ABMS would have been right  
21 outside the wall here, so we wanted to minimize the  
22 trachea tube length to the respirator. So instead

1 of having it out here and up on the mannequin, we  
2 wanted to minimize that length.

3 The test conditions, yeah, it was -- we  
4 had an air velocity of 533.4 liters per minute plus  
5 or minus 76.2.

6 The temperature inside the chamber was 23  
7 degrees C, plus or minus 3 degrees. And it was  
8 operated, the ABMS, at workload B, which is 40  
9 liters per minute.

10 Again, like the other tests, we used two  
11 closed-circuit SCBAs, one each from two different  
12 manufacturers.

13 And the results, we noticed no difference  
14 from untreated units.

15 The remaining benchmark testing that we  
16 are looking at doing is the chemical agent  
17 permeation and penetration resistance,  
18 environmental temperature operation performance,  
19 vibration endurance, communication, and the  
20 facepiece lens haze, luminous transmittance and  
21 abrasion resistance, and then flame and heat test  
22 for fabric and the thread.



1                   And that's all I have on the benchmark  
2 testing.

3                   MR. BERNDTSSON: Goran Berndtsson from  
4 SEA, again.

5                   I just need to ask a question just out of  
6 ignorance. Why do we do heat and flame testing to  
7 CBRN?

8                   MR. REHAK: Pardon?

9                   MR. BERNDTSSON: Why do we do the heat  
10 and flame testing for CBRN?

11                  MR. REHAK: We might be doing more heat  
12 and flame testing.

13                  MR. KOVAC: He says why.

14                  MR. REHAK: Why.

15                  MR. KOVAC: Why.

16                  MR. REHAK: Because basically, you know,  
17 these units potentially could be used by  
18 firefighters or first responders.

19                  MR. BERNDTSSON: Yeah. So do you -- you  
20 intend to use them for firefighting? Is that what  
21 you are leading to?

22                  MR. REHAK: They might have to go into a

1 flame environment, yes.

2 MR. BERNDTSSON: But it seemed to be  
3 dangerous.

4 You didn't want to have the oxygen  
5 cylinder there when you did the tests, so that kind  
6 of indicates that you shouldn't be using these in  
7 the fire. And then you ...

8 MR. REHAK: Well, we are taking this  
9 testing one step at a time.

10 MR. BERNDTSSON: I see.

11 MR. REHAK: The final heat and flame  
12 tests may be a combination, but we don't want to  
13 expose the factory or the independent testing  
14 agents to a potential safety hazard.

15 But we are planning to do testing for  
16 this certification with a live cylinder.

17 MR. BERNDTSSON: Is that -- do you have  
18 the long term that the rest of the CBRN-approved  
19 equipment is also going to go through the heat and  
20 flame test?

21 Is that the long-term view then, as we  
22 start driving into the -- because I mean, when

1 you --

2 MR. REHAK: For the closed-circuit, yes,  
3 we plan to do that.

4 MR. BERNDTSSON: The PAPR.

5 MR. KOVAC: I misunderstood, then.

6 No.

7 MR. REHAK: No.

8 MR. KOVAC: No.

9 MR. REHAK: No.

10 MR. PALYA: No. Because that's not going  
11 to be used in IDLH conditions.

12 MR. REHAK: Or a heat and flame  
13 environment.

14 MR. PALYA: Exactly.

15 MR. REHAK: This will potentially be used  
16 in the heat and flame environment, so, yes, we  
17 wanted to expose it to the heat and flame test to  
18 make sure that they would be able to withstand  
19 those conditions.

20 MR. FLYNN: Bill Flynn from Biomarine.

21 A simple question about the end of  
22 service time for the testing of the two apparatus

1 after flame test.

2           You have some specific numbers. Were  
3 they similar to pretesting?

4           In other words, one ended at 167. The  
5 other ended at 2 plus, so very similar to numbers  
6 at pretesting.

7           MR. REHAK: Yes.

8           MR. FLYNN: At least you specified these  
9 numbers, and that's the reason for the question.

10          MR. REHAK: Yes.

11          MR. LAMBERT: I said I wasn't going to do  
12 this, but I'm going to do it. I'm Barnum Lambert,  
13 ESS, from California.

14                I'm currently doing a project with TSWG,  
15 and it bothers me this question has come up about  
16 flame and heat testing for across the board because  
17 it's inconsistent with a statement that was made  
18 earlier about if you want a unit that's going to do  
19 everything, then you are going to wind up with a  
20 Sherman tank.

21                Now, you say first responders may be  
22 able -- or may be subjected to the same things that

1 a firefighter would. But first responders don't  
2 wear the uniform and the turnout gear that a  
3 firefighter does.

4           And so to expect a piece of equipment for  
5 say a police officer to go in, or a CIA agent, or a  
6 DEA agent, or the Coast Guard, or any of those that  
7 might have to go in and inspect a toxic spill or  
8 for whatever else, other than fire, for them to --  
9 that unit to have to pass heat and flame tests, you  
10 are putting requirements on that unit that are  
11 unrealistic.

12           Because the first responder that goes in  
13 is going to be wearing blue jeans and light stuff,  
14 and they can't stand the fire test anyway.

15           So if the person wearing it can't survive  
16 the situation, why should the unit?

17           So my point is is that I think maybe that  
18 it should be seriously looked at here.

19           I have sat on the NFPA Board, and I sat  
20 on the last two rebreather boards for them, and I  
21 listened to all of this several years now running.  
22 And I agree for firefighters, yes, the flame test

1 is valid, but I don't think it's valid for a police  
2 officer. And I don't think it's valid for an FBI  
3 agent. And those are the people that right now  
4 really need units. There's more of them than there  
5 are firefighters.

6 Thank you.

7 MR. REHAK: Thank you. That's it.

8 Next agenda.

9 MR. SZALAJDA: I think we're running  
10 about a half an hour ahead of time.

11 But unless anybody has any concerns, we  
12 will just keep going and finish the closed-circuit  
13 this morning, and then we will take a break for  
14 lunch.

15 MR. KOVAC: Once again. Good morning.

16 And now I'm going to talk about modeling  
17 the facepiece leakage using computational fluid  
18 dynamics.

19 Next slide.

20 The most vexing issue of what risk a  
21 firefighter or first responder might have wearing a  
22 closed-circuit device.

1           And there was imperfect facepiece, slow  
2 leakage of oxygen into a high radiant heat or flame  
3 environment.

4           So our objective is to use computational  
5 fluid dynamics to simulate outward leakage, and  
6 then to experimentally validate the simulation so  
7 that we can gather a scientific understanding of  
8 leakage and the risk it poses.

9           We are partnering with the NIST Buildings  
10 and Fire Research Laboratory. And our timeline for  
11 completing the modeling is sometime before the  
12 start of Fiscal Year '06.

13           Next.

14           Let's talk a little bit about  
15 computational fluid dynamics.

16           The idea is simply to use a computer to  
17 analyze problems in fluid flow. And what the image  
18 is is something that you could do in a  
19 straightforward fashion.

20           When I computed closed form, it simply  
21 shows the flow paths around a cylinder or sphere.

22           We're going to deal with issues involving

1 turbulent flow, mixing things which are hovering at  
2 the edge of chaos.

3           So primarily the computational fluid  
4 dynamics gives us a means of visualizing flow and  
5 an understanding of what happens, especially when  
6 there's turbulent mixing.

7           But we must temper all of this. All the  
8 computer modeling and simulation means literally  
9 nothing independent of verification and verifying  
10 the reality that they are supposed to simulate.

11           So we propose checking the accuracy of  
12 the simulation using experimental methods.

13           Next.

14           Our protocol involves scanning actual  
15 heads and facepieces into a 3D data set for entry  
16 into our computer onto a computational fluid  
17 dynamics software, and this will provide the  
18 physical boundary conditions for the fluid problem  
19 to be solved.

20           We will examine different leak geometries  
21 representing an imperfect seal, and then we will  
22 look at oxygen concentration fields and flow



1 streamlines for those geometries during normal  
2 breathing and high stress breathing patterns.

3           And then we're going to look at what the  
4 results are and try to verify them experimentally.

5           Next.

6           where we stand, we are able to model the  
7 human head, and able to model the interface between  
8 a half mask, in this case, and the head form.

9           we could do this with a full facepiece so  
10 we have the appropriate geometries to begin looking  
11 at the computational mesh that we need to do the --  
12 basically the integration levels and equations for  
13 the fluid flow along the facepiece breach.

14           So what we are really talking about is  
15 just a work in progress. And it's something to  
16 help us gain a better understanding of the kind of  
17 risks involved due to leakage of oxygen into a high  
18 heat or flame environment.

19           And it's something that we will be  
20 reporting on in a fuller fashion later this  
21 calendar year.

22           Next slide.

1                   And that's really all I have to say.

2                   It's a very brief presentation.

3                   So if you have any questions or comments.

4                   No?

5                   Okay. Again, it's a work in progress,  
6 something that we need to do.

7                   And basically, what we need to do is just  
8 review where matters stand and where we are likely  
9 to proceed.

10                  So we will revise and post all the  
11 revisions to our concept. We are going to continue  
12 stakeholder discussions. And we are going to  
13 continue benchmark testing, completing the  
14 protocols that we have outlined.

15                  Our next public meeting will be sometime  
16 in November of this year. And the target date for  
17 completing the technical requirements will be at  
18 the end of this calendar year, in December of '05.

19                  And we have the information for  
20 communicating with us by putting information  
21 through a docket, both by email and by regular  
22 post.

1                   So that pretty much finishes our  
2 presentations. And we have an open mike now for  
3 comments, questions, whatever.

4                   MR. MCKENNA: Doug McKenna from  
5 Micropore.

6                   Nick, I had a question, how long -- what  
7 was the duration in time that two out of ten people  
8 dropped out because of the high pressure drop?

9                   MR. KYRIAZI: They had to tolerate each  
10 pressure for four minutes.

11                   So the study was that they were put on a  
12 treadmill at a certain speed, and then every four  
13 minutes the grade would increase.

14                   And what they did, there were four  
15 different resistances and -- which are pressure --  
16 four different resistances. I forget if they  
17 were -- it was like four different orifice sizes.  
18 And then every four minutes the grade would  
19 increase, therefore, their ventilation would  
20 increase and the pressures would increase.

21                   MR. MCKENNA: If I understand that  
22 correctly, my question is that if the test standard

1 is a high rate for 12 minutes, and then down to 40  
2 liters a minute, I think you said starting at 100  
3 and then down to 40, in that 12-minute period, how  
4 many people wearing a unit performing that kind of  
5 work rate would drop out?

6 would it be all of them or half of them?

7 And so I'm seeing a question between the  
8 daily use to support the breathing resistance which  
9 will cause two people to not be able to continue,  
10 and a higher work rate test on the rebreather,  
11 which might cause more people to not continue.

12 MR. KYRIAZI: What I think you are  
13 calling for is a specific research study for this  
14 particular test for a population of people, likely  
15 users or such.

16 Is that correct?

17 MR. MCKENNA: I guess I'm just seeing an  
18 inconsistency between the two tests.

19 Your data shows that two of the ten  
20 people are going to drop out, and -- but only after  
21 four minutes at that high work rate.

22 And so the test specification of 12

1 minutes at a higher work rate is going to cause  
2 more people to drop out.

3 And do we -- should we lower --

4 MR. KOVAC: Say that's a simulator test,  
5 Nick. They're not perfect.

6 That's a simulator test that he was  
7 talking about rather than a real person on a  
8 treadmill.

9 MR. KYRIAZI: That's correct.

10 MR. KOVAC: So we are looking at what's  
11 humanly tolerable and gauging it against that.

12 Whether we would put a person on the  
13 treadmill and duplicate that test is another  
14 matter.

15 MR. MCKENNA: So I'm just suggesting you  
16 are going to have more than two people dropping out  
17 at your current 200 millimeter pressure drop, and  
18 are we concerned about that?

19 MR. KYRIAZI: There are a number of  
20 things to consider.

21 One is that all of these stressor levels  
22 are, you know, as you increase the CO2, lower

1 oxygen, and increase temperature, increase  
2 pressure, there is no point or like a step for your  
3 threshold limit where everybody just quits.

4 It's very subjective.

5 So it's difficult to say that anything  
6 it -- is this on?

7 So it's difficult to come up with a limit  
8 for everybody for all purposes.

9 But what I think you are pointing out is  
10 that while they could tolerate the four minutes,  
11 that we're making them do the same work rate for 12  
12 minutes.

13 MR. MCKENNA: That's correct.

14 MR. KYRIAZI: If you think that that  
15 would arise more concern, but to me it's a matter  
16 of if the person can't tolerate it, then they will  
17 have to slow down a little bit.

18 But also what you wouldn't tolerate may  
19 be dependent on your physical condition or what you  
20 ate this morning. So it's very subjective.

21 Some people -- you know, this was in a  
22 lab, where if people were told, you know, do it as

1 long as you can. And in a situation where it was  
2 an emergency, I'm certain that they would tolerate  
3 it longer.

4           So what we're trying to do is simply put  
5 the limits that no apparatus shall ever exceed  
6 these for more than a minute at a time.

7           And the worst case scenario, I think,  
8 would be that an apparatus was 199 millimeters of  
9 water pressure at the highest work rate, and it  
10 just stayed there for the full 12 minutes.

11           And it's very difficult -- you can talk  
12 to the manufacturers -- to design an apparatus like  
13 that.

14           And usually, the pressure is increasing.  
15 And so if it increases -- if it -- if it's  
16 subjected to -- whether you're being subjected to  
17 199 millimeters for a minute, the next minute is  
18 going to exceed that. Usually the beds start to  
19 coagulate, and the pressures go up.

20           So it would be very difficult, I think,  
21 to have an apparatus which was the -- which pushed  
22 the limits and just stayed underneath and squeaked

1 by.

2                   And if even if it did, all that would  
3 do -- it's not going to kill anybody. It's just  
4 going to -- for people who are severely sensitive  
5 to pressure and could not tolerate it, they would  
6 just have to work at slightly over level. It's not  
7 like it's going to knock them unconscious or  
8 anything.

9                   MR. MCKENNA: Just one comment.

10                   That was my point. And are two of the  
11 ten people not going to be able to work? And much  
12 more than that, because it's for a longer period of  
13 time, are we designing a specification where people  
14 are going to be able to do high work?

15                   MR. KYRIAZI: Well, as I pointed out in  
16 one of the screens, the problem was ... go ahead.

17                   MR. STEIN: I'm Bob Stein. I'm with  
18 NIOSH.

19                   The gentleman that asked the question,  
20 the high work rate is not designed to elicit the  
21 maximum pressure resistance that you saw on the  
22 other slide. So it's not set to elicit that



1 maximum resistance for 12 minutes.

2 I believe your -- the way you asked the  
3 question makes me think that you think the test is  
4 specified to elicit the maximum resistance for 12  
5 minutes, and it's not.

6 It's the work rate and the stressor  
7 levels are independent of each other.

8 If it should happen to elicit that high  
9 pressure level for that duration, perhaps people  
10 would, you know, will wilt away, as you suggest.

11 But a lot of apparatus do not reach that,  
12 you know, peak pressure at that high work rate.

13 So it's not designed to, you know, to be  
14 a challenge that people can't meet.

15 MR. KYRIAZI: In addition, if -- in one  
16 of the slides, we pointed out that if you designed  
17 it to be very, very comfortable at the absolute  
18 highest peak flow rate, chances are it's going to  
19 be very big and very heavy.

20 And people won't be able to tolerate just  
21 the bulk and the weight of it walking around in  
22 normal work rates.

1 MR. BERNDTSSON: Goran Berndtsson from  
2 SEA.

3 It sounds a little bit like you are  
4 writing a standard around equipment instead of  
5 writing a standard around the physical requirement.

6 I mean, I would have thought that you  
7 were saying that don't -- you see that after four  
8 minutes, two people are falling out, so we can't  
9 have 200 millimeter requirement because that is too  
10 high. I would have brought it down to 100 and told  
11 the manufacturer to go out and make sure they can't  
12 meet it; otherwise, you are going the wrong way.

13 That's my opinion.

14 MR. KYRIAZI: I don't understand how you  
15 can say we are designing around equipment.

16 This is -- we're designing it around  
17 human beings. This is what people can tolerate.  
18 So we are saying this is the outside limit.

19 At any work rate likely to be -- to be --  
20 or an apparatus to be subjected to it, it will not  
21 subject the user to higher or outside of these  
22 limits.

1                   MR. BERNDTSSON: Excuse me. Maybe. But  
2 to me it sounds like we want to meet 103 liters, so  
3 we do that for a little bit of time.

4                   We can then justify that that can -- the  
5 system required to meet that, but not for too long  
6 time. Then we bring it down; then we bring it  
7 down; we bring it down.

8                   It sounds a little bit like equipment we  
9 have now, how can we get that to be performing at  
10 103 liters and still sustainable.

11                   And then we look on some research on  
12 physiology. You should have as low pressure  
13 resistance as possible.

14                   MR. KYRIAZI: Say that again.

15                   MR. BERNDTSSON: Physiologically, you  
16 should have as low a resistance as possible.

17                   I mean, if there is -- there is a good  
18 reason why we have three and a half inch or 76 or  
19 85, 86 millimeter, whatever they call them, on  
20 other respirators with a maximum exhalation  
21 resistance. And here we are talking about more  
22 than twice that much.

1           MR. KYRIAZI: well, again, let me point  
2 out that if you take an apparatus that were NIOSH  
3 approved and it just barely passed the NIOSH test  
4 at 51 millimeters, if you took it up to the NFPA,  
5 the high work rate, the 103 liters a minute work  
6 rate, you would see it would fail. Even our test  
7 would be higher than -- or our test limit at 200  
8 liters, it would be to 225.

9           In fact, many of the apparatus, when you  
10 monitor them during the high work rates, they would  
11 exceed the pressure limits monitored during the low  
12 work rates, during rest, where they are measured  
13 now.

14           And also the 51 millimeters of water  
15 pressure is peak. Again, as I pointed out, that  
16 that's dry with no CO2.

17           Many of the apparatus, when you put CO2  
18 and moisture in them, they will exceed that by  
19 much.

20           So the present apparatus are -- you --  
21 I'm sure there are apparatus out there that if you  
22 test them at the highest work rate, they would

1 exceed everybody's stressor level limits.

2           And we're simply trying to bring human  
3 physiology in this and say that we're designing  
4 this to enable people to use the apparatus at  
5 any -- the likeliest highest work rate, it will not  
6 exceed human tolerance limits.

7           Any other challenges?

8           MR. HEINS: It's Bodo Heins from Draeger  
9 Safety.

10           I can see that you go more and more to  
11 the NFPA 1981 standard, which is for firefighters.  
12 And the CBRN standard should not be alone for  
13 firefighters.

14           So in my not being involved in the  
15 standard, but that did not become valid. Because I  
16 think the requirements have been very hard and  
17 strong, and therefore it was stopped and not  
18 validated.

19           MR. FLYNN: Bill Flynn from Bio Marine.

20           I want to change the subject slightly  
21 about the cost for the CBRN testing.

22           At our last meeting, we mentioned, at

1 least I mentioned that the cost, A, could be  
2 prohibitive based on the size of the market.

3 And I know you still have updated  
4 costing, but do you know when updated costing for  
5 this testing will be available to manufacturers?

6 MR. KOVAC: I'm going to defer to  
7 somebody else on that.

8 Jon.

9 MR. PALYA: I think probably the best we  
10 could tell you at this time is we will address it  
11 when we get together in November.

12 We have an idea of what certain costs are  
13 with regard to our -- our testers at Edgewood cost  
14 per test.

15 But until we further define the need for  
16 certain requirements and the number of apparatus  
17 that are required for each test, it's just a guess  
18 at this point.

19 MR. FLYNN: We have a proposed standard  
20 for how many apparatus you are going to require.

21 As you are going through the benchmark  
22 testing, would you then have emerging cross-data

1 that could then be made public as just emerging  
2 data so we would have an idea?

3 And related to that, do you have an idea  
4 when events, benchmark testing for the live agent  
5 test will occur?

6 MR. PALYA: With the -- what we are doing  
7 is we're still going through that benchmark  
8 testing. And then we still got a lot to go,  
9 especially with chemical warfare agents and reagent  
10 tests.

11 The only thing I can say is maybe, as it  
12 becomes available, we could just probably put a  
13 approximate cost up there.

14 But as we are -- we are running more with  
15 the benchmark testing.

16 MR. SZALAJDA: And what the -- at least  
17 as far as an update with the agent testing, one of  
18 the challenges that we had to overcome was the  
19 integration of the ABMS into the set up at  
20 Edgewood.

21 And what has been conceptualized is that  
22 there's going to be a walk-in hood with the

1 SMARTMAN that also has the ABMS included inside the  
2 walk-in hood.

3 And we are in the -- Edgewood is  
4 currently in the process of getting that scoped out  
5 and set up now. And we envision probably between  
6 now and the next public meeting, we will have been  
7 able to have run some tests.

8 MR. FLYNN: So it's pretty wide open. I  
9 don't think they even have a walk-in hood at this  
10 point.

11 MR. SZALAJDA: It has been ordered.

12 MR. FLYNN: Okay.

13 MR. SZALAJDA: It's just not installed,  
14 but it's been ordered, and they have to make some  
15 laboratory modifications to accommodate the hood.

16 The ABMSs have been procured. They have  
17 them as far as the systems are there.

18 It's just a question of them doing their  
19 due diligence in getting the hood set up and  
20 preparing to run the experiments for us.

21 But we will look at some different  
22 options, at least as far as trying to develop some



1 of the cost data and whether we introduce it  
2 through the concept paper out, or if we present it  
3 at the public meeting, the next public meeting.

4 We will have to make a determination on  
5 that.

6 MR. KOVAC: Much of what we do is  
7 exploratory in nature. Much of what we do regard  
8 as benchmarking, and so costs are going to be  
9 derivative from the information that we collect.

10 And I suspect our intentions are to act  
11 with prudence and to act in a way that makes sense  
12 for all the stakeholders involved.

13 We want good product. We want good  
14 science in certifying that product. At the same  
15 time, we have to balance that against a realistic  
16 goal upon a manufacturer for submittal.

17 So these things all need to be worked  
18 out. That's, I think, where we stand except for a  
19 lunch break.

20 MR. SZALAJDA: Okay. Why don't we  
21 reconvene at 1 o'clock, and then we will pick up  
22 with the guidance documents at that time.

1 MR. KOVAC: Thank you all for your  
2 attention.

3 (A lunch break was taken.)

4 MR. SZALAJDA: At least as far as what we  
5 are going to cover this afternoon, we have  
6 completed discussions on the closed-circuit.

7 This afternoon, we are going to discuss  
8 current concepts that we have for guidance  
9 documents for the CBRN respirators.

10 There's a couple of different products  
11 that have been developed which are available on the  
12 website, as well as two or three that are available  
13 on the website, were made available in the CD that  
14 you received coming into the meeting.

15 You can make -- you can download the  
16 guidance document for the SCBA off the website.  
17 That is posted.

18 But, again, for this afternoon, if you  
19 have any comments, the same rules apply. Please  
20 come up to the microphone and state your name and  
21 affiliation for the record, and state your  
22 question.

1           One thing I forgot to mention this  
2 morning, I'm not sure if you guys are aware or have  
3 heard of NIOSH E-news. It's a monthly newsletter  
4 at the NIOSH directorate, the NIOSH division from  
5 Washington issues.

6           That's a synopsis of all of NIOSH's  
7 business for the month, which includes not only the  
8 activities that we do in Pittsburgh, but also the  
9 other NIOSH divisions in Morgantown, Cincinnati,  
10 and Spokane.

11           So it might be worth your interest, if  
12 you are not already a member, to get these  
13 electronic transmissions automatically.

14           There's some information on the back  
15 table as far as filling out your name and email  
16 address, and we can put you on the link to get the  
17 e-news automatically.

18           For the respirator guidance, the docket  
19 has been set up. It's -- 052 is the docket number  
20 for your comments. It's a little different for  
21 this system than it has been for the respirators.

22           The respirators in general, the docket is

1 open all the time. And after each public meeting,  
2 we ask for specific comments on a particular  
3 concept paper and within a 30-day window following  
4 the public meeting.

5 But for the purposes of the guidance  
6 document, we are pursuing the development of these  
7 as products that will be formally published by  
8 NIOSH. And the terminology we use is a NIOSH  
9 numbered document.

10 But these will be a formal publication  
11 that will be issued by the institute. And as such,  
12 we are in the process right now that we have --  
13 have had internal reviews of the document and are  
14 getting to ready to release them for external peer  
15 review.

16 And we felt it was appropriate at this  
17 time, prior to starting that external peer review  
18 process, to allow the community to have an  
19 opportunity to look at the types of information  
20 that we are developing. And then relaying that,  
21 relay back to us if you think we are on track or if  
22 there are additional things that you think we

1 should be addressing with regard to these types of  
2 documents.

3           And, again, what I would highly  
4 recommend, if you are going to make comments to the  
5 docket, if you have specific recommendations that  
6 you think we should address, if you could, please,  
7 you know, include rationale if you have literature  
8 or other technical background that you think we  
9 should know relative to the implementation of the  
10 guidance documents, we would appreciate knowing  
11 that.

12           But for this system or for this process  
13 for the guidelines, we are looking at having an  
14 open comment period through the 31st of August.

15           And at that time, the docket will close,  
16 and we will review the comments and then make some  
17 determinations on incorporating the results and  
18 moving -- incorporating the input and moving  
19 forward at that time.

20           And so with that, I would like to  
21 introduce Terry Cloonan.

22           Terry is going to provide an overview of

1 what we're doing in the area of developing the  
2 guidance documents as well as providing some  
3 information on the self-contained breathing  
4 apparatus.

5 MR. CLOONAN: Thanks, Jon.

6 Good afternoon. For those of you who  
7 don't recognize me, I am Terry Cloonan. I'm a  
8 physical scientist in the National Personal  
9 Protective Technology Lab at NIOSH.

10 And normally I don't go by a script, but  
11 today I'm going to go by a script because this is a  
12 NIOSH formal external review process forum with the  
13 add on of the public comments for these use guides.

14 I will be your presenter for the next two  
15 agenda topics.

16 The topics are an overview of guidelines  
17 for use of NIOSH-approved CBRN respirators and the  
18 draft NIOSH CBRN SCBA User's Guide. Please  
19 withhold your comments until the dedicated question  
20 period.

21 All CBRN respirator use guides are draft  
22 publications being staffed through NPPTL and then

1 NIOSH, using defined NIOSH internal and external  
2 review processes.

3           The integration of public comment on  
4 these guides is a new initiative, and,  
5 consequently, the guides referenced in this public  
6 meeting are posted on the NPPTL webpage for a  
7 period of 49 calendar days.

8           All public comments received during those  
9 49 days will be accepted, understood, and addressed  
10 for consideration of inclusion or deletion based on  
11 analysis of provided rationale and scientific  
12 methodology.

13           Submitters of those public comments  
14 should provide clear administrative contact  
15 information with the public comment and should  
16 expect a status on the comment within 30 days of  
17 the receipt by NPPTL.

18           The public comment period for the use  
19 guidelines supports the stated mission statement to  
20 the front. And this is to prevent work-related  
21 injury and illness by ensuring the development,  
22 certification, deployment, and use, I say again,

1 use, of personal protective equipment and  
2 integrated clothing ensembles.

3           work related injury and illness  
4 prevention is achieved by the proper use of  
5 NIOSH-approved respirators with other compatible  
6 PPE.

7           Ensuring the proper development of PPE is  
8 accomplished by the conduct of open public  
9 meetings, formal stakeholder information sessions,  
10 and deliberate due diligence of select PPE  
11 standards and standards development.

12           Certification of PPE, specifically  
13 respirators, is a paramount function that  
14 contributes to the critical use of respirator  
15 selection logic and accurate deployment of PPE in  
16 support of preventing work-related injury and  
17 illness in emergency responses.

18           Training and assessment of training is  
19 vital in determining strengths and areas of  
20 improvement related to the efficient use of  
21 personal protective equipment.

22           The use of current PPE continues to



1 evolve with the dynamic global terrorism threat and  
2 advancing CBRN standards development to counter  
3 that threat. NIOSH CBRN respirators play a pivotal  
4 role in deterring the evolving threat.

5           The firefighter with turnout gear and  
6 SCBA as well as the responder in Level C, B, or A  
7 requires respirator use guidelines that will assist  
8 in focusing the multitude of types and styles of  
9 PPE available today.

10           CBRN respirators provide that cutting  
11 edge response multipliers that contribute to better  
12 force protection available to the incident  
13 commander who is responsible for preserving the  
14 available responder manpower.

15           Respirator Use Decision logic should not  
16 be done in a vacuum. It requires input and  
17 collaboration from various sources, such as  
18 sampling and monitoring assets, operations  
19 sections, logistics sections, exclusion zone  
20 controllers, and incident command authorities.

21           While CBRN respirator certification  
22 standards are continuing to be developed by NIOSH,

1 CBRN respirator use guidelines are now starting as  
2 a culmination of CBRN respirator standards  
3 development and certification testing outputs.

4           The first use guideline in a series of  
5 NIOSH CBRN respirator guides is the CBRN SCBA  
6 User's Guide with its companion Training Aid  
7 pamphlet.

8           Parallel with that guide, the CBRN APR  
9 User's Guide is also available. As stated, all  
10 three are available in draft and have been on the  
11 fast track for expeditious publication.

12           So what are the guidelines for the use of  
13 CBRN Respirators?

14           The guidelines are published documents  
15 free to the public and focused on the end-user.  
16 NPPTL intent for publishing CBRN respirator use  
17 guidelines is that they will be NIOSH numbered  
18 publications designed to provide end-users,  
19 supervisors, and administrators recommendations of  
20 use based on insight gained from live agent  
21 certification observations, end user feedback,  
22 observations of homeland security terrorism

1 readiness exercises, active participation in  
2 national SCBA training programs, and peer reviewed  
3 recommendations.

4           The guides will address all field  
5 deployed CBRN respirator types. Future  
6 opportunities to address other types of respirator  
7 use guidelines, besides CBRN response, are to be  
8 determined.

9           The intent for publishing the user guides  
10 is to assist responders in determining the who,  
11 what, when, where, and how of CBRN respirator  
12 decision logic. A thorough read of the guides is  
13 expected to allow a user to determine how to attain  
14 the best and safest performance from NIOSH-Approved  
15 CBRN respirators...how to take that knowledge and  
16 train on it, allow acclimatization of responders to  
17 increased PPE wear time, and ultimately contribute  
18 to a stronger CBRN incident response.

19           CBRN respirator use is a perishable skill  
20 that requires refresher training on a regular  
21 basis.

22           With the given NPPTL intent for use

1 guides, the term "use" does, in fact, have  
2 precedence established in NIOSH federal regulation  
3 and selection logic.

4 The fact that NIOSH is moving forward  
5 with guidelines for use of CBRN respirators is a  
6 direct result of forward thinking, situational  
7 awareness, and proactive vision.

8 42CFR Part 84 has use precedence located  
9 in four locations on the CFR. Specifically,  
10 paragraphs 84.2, 84.3, v,b and v,c specify  
11 definitions related to respirator use.

12 Industrial respirator use documents are  
13 prevalent and have been available for some time.  
14 They are located at the link shown to the front.

15 CBRN respirator use documents are a much  
16 needed addition to the industrial and medical  
17 respirator publications currently in existence.

18 CBRN use guide development.

19 Five current events have set the pace for  
20 the state of NIOSH CBRN Respirator Use Guidelines.

21 In December 2001, important after use  
22 observations were discussed in the New York City

1 NIOSH RAND public meetings.

2 NIOSH and RAND followed that event up  
3 with three publications that represented a  
4 comprehensive assessment of occupational health and  
5 safety observations.

6 Publications that provided insight on  
7 structural collapse, safety measures, and PPE use  
8 recommendations for terrorist attacks.

9 Guides focused down at the end-user level  
10 were recommended. In support of that  
11 recommendation, NPPTL formed a User Guide team in  
12 September, 2004 to translate CBRN standards  
13 development into guideline documents for CBRN  
14 respirator use.

15 From August of 2004 to June 2005, an  
16 NPPTL team developed and wrote two guides and a  
17 training aid to support one of the guides, focused  
18 at the emergency responder end user and supervisor  
19 levels.

20 Quality of scientific information  
21 published in government publications was clarified  
22 in a recent NIOSH policy on disclaimers and a

1 supporting Office of Management and Budget (OMB)  
2 communications product policy as recently as May 2,  
3 2005.

4 Now in support of the OMB guidance and  
5 the NIOSH Education and Information Division  
6 recommendations, NPPTL provides three draft user  
7 guides in conceptual draft format for public  
8 comment as of July 14, 15th, and now most recently,  
9 the 18th.

10 Guide Purposes.

11 There are four: To assist, to educate,  
12 to prevent disinformation, and to recommend.

13 Recommendation guidelines that provide  
14 better training through better understanding,  
15 better preparedness through better training, and  
16 better integration of CBRN respirators used at the  
17 lowest respirator level resulting in better  
18 incorporated respirator use guidelines that rely on  
19 responder review and feedback.

20 Our purpose is to assist users at all  
21 levels in understanding how to identify CBRN  
22 respirators, how to integrate cautions and

1 limitations, and how to maximize understanding of  
2 those cautions and limitations in the use of the  
3 respirator.

4 NIOSH user guides are expected to  
5 contribute to better training by providing  
6 insightful perspectives on how to use CBRN  
7 respirators before the incident starts, enroute to  
8 the incident, during the incident, and after the  
9 incident.

10 With this type of dynamic purpose, the  
11 guides are subject to annual or semiannual revision  
12 over time.

13 CBRN respirators have unique qualities  
14 built in. Respirators need to know -- correction.

15 Responders need to know those unique  
16 qualities so they have a better understanding of  
17 how the respirator will perform when actually  
18 contaminated with live chemical warfare agents or  
19 other hazardous substances.

20 These respirators are intended to be the  
21 first line of respiratory protection for emergency  
22 responders and other types of workers as situations

1 dictate.

2           However, just as with any new respirator  
3 technology, CBRN respirators are not the  
4 all-inclusive magic bullet. There is no superman  
5 respirator for the emergency responders.

6           NIOSH approved cautions and limitations  
7 play vital roles in clarifying and stating the use  
8 of the CBRN respirators and thus their limits more  
9 so than any industrial caution and limitation that  
10 currently exists.

11           The knowledge of the cautions and  
12 limitations coupled with sound incident risk  
13 assessment is expected to contribute to the  
14 prevention of terrorism workplace illness and  
15 injury from exposure to CBRN agents.

16           Conceptual documents focused on applying  
17 the cautions and limitations to everyday respirator  
18 use are what the current draft use guides are.

19           Three concept User Guides are posted in  
20 draft format.

21           They are the CBRN SCBA User's Guide, the  
22 CBRN SCBA Training Aid for the SCBA, and draft CBRN



1 APR User's Guide documents.

2           These guides are comprehensive technical  
3 guides that join NIOSH certification outputs with  
4 practical recommendations or available best  
5 practices to create a single source reference for  
6 how to use a CBRN respirator at the lower --  
7 correction, at the lowest use level, the first  
8 responder.

9           The public comment period, as stated, is  
10 14 July through 1 August. Forty-nine days are used  
11 so as to not present a significant delay in the  
12 formal NIOSH external review process, which is  
13 expected to start shortly after the public comment  
14 period.

15           Proper use, better preparedness, better  
16 response, safer emergency workplaces, ultimately  
17 leading to possible deterrence of a CBRN attack.  
18 This is our charge, and this is our challenge. You  
19 know very well what our mission is.

20           NIOSH CBRN Respirator User Guides focus  
21 on available technology in common read-only formats  
22 and will have sufficient technical information to

1 allow accurate PPE decision logic processes.

2 Proper use of the CBRN respirators will  
3 contribute to better preparedness, better product  
4 assessment, better response, better future  
5 developments, and safer emergency response  
6 workplaces.

7 The use of CBRN respirators may stop,  
8 deter, or alter the effective use of CBRN weapons  
9 of mass destruction by providing the highest level  
10 of respiratory protection possible in a field  
11 deployed respirator and prevent the permeation and  
12 penetrating effects of chemical warfare agents on  
13 respirator air-pressure boundaries or material  
14 surfaces.

15 NPPTL looks forward to your public  
16 comments.

17 This concludes the overview brief. The  
18 one after is for me as well. I'll try to keep that  
19 brief as well.

20 Does anyone have any comments? No.

21 Any questions? I'll take one or two  
22 questions.

1 I know you're not shocked and awed at  
2 that. Come on.

3 All you end users in here and  
4 outstanding -- yes, sir.

5 UNIDENTIFIED MAN: Were they posted  
6 yesterday?

7 MR. CLOONAN: They were posted as of the  
8 14th of July, and we recently reposted the CBRN  
9 SCBA users guide training aid on the 18th of July.

10 So they are relatively recent posts, yes,  
11 sir.

12 And you are the probably at a  
13 disadvantage because you may have not have the  
14 opportunity to see them, but that's intentional.  
15 No, I'm just kidding.

16 UNIDENTIFIED MAN: (Inaudible)

17 MR. CLOONAN: We are moving on here.

18 The next two presentations on use guides  
19 will address specific types of CBRN respirators,  
20 the SCBA and the APR.

21 The SCBA under discussion is the  
22 open-circuit, pressure demand self-contained

1 breathing apparatus, commonly known as a SCBA, or  
2 BA, for Breathing Apparatus, in international  
3 markets.

4 The SCBA is also marketed under specific  
5 manufacturer terms such as "Air Pak," et cetera, et  
6 cetera, in US markets.

7 The APR guide is the tight fitting,  
8 full-face, negative pressure air-purifying  
9 respirator, also known by NIOSH as the "gas mask."

10 Both guides complement each other by  
11 sharing a similar purpose, intent, and overall  
12 format.

13 Using the CBRN SCBA and APR guides  
14 together allows for the translation of technical  
15 information contained within the guides to  
16 practical end user knowledge and in-use service  
17 terminology while providing a technical training  
18 format that will increase CBRN respirator  
19 capability awareness and prevent disinformation  
20 about CBRN respirator performance, use, or misuse.

21 Chemical, biological, radiological, and  
22 nuclear weapons employed in terrorism attacks or

1 other adversarial events are expected to be  
2 unpredictable.

3           Since the CBRN weapon effects are  
4 essentially unpredictable, use of CBRN weapons on  
5 an unprepared civilian workforce might well be seen  
6 as a lucrative target by a terrorist or other  
7 enemies adversarial to the US or US allies and  
8 their interests.

9           OSHA and NIOSH precedence for why a  
10 respirator is used and how it is defined exists in  
11 the OSHA respirator use statement found in OSHA  
12 Document No. 3079, Respiratory Protection, dated  
13 2002, and paragraph 84.2 of the Department of  
14 Health and Human Services 42 CFR Part 84.

15           Emergency responses to CBRN terrorism  
16 attacks are not expected to have defined exposure  
17 levels that can be negated by work practices and  
18 engineering controls.

19           Therefore, the CBRN SCBA is designed to  
20 provide the highest level of respiratory protection  
21 and the longest available supplied air service life  
22 in chemical warfare agent contamination, unknown

1 hazards, or oxygen deficient atmospheres.

2           Specific CBRN PPE emergency response  
3 matrix information -- anybody seen that document on  
4 the OSHA website? The OSHA NIOSH CBRN PPE  
5 selection matrix? Raise your hand? One, two,  
6 three. Okay. You have never seen it? It's a  
7 pretty significant document. It tells you, if you  
8 are a responder, hey, this is the recommended level  
9 of protection for this type of agent.

10           It states the AEGL values. Are you  
11 familiar with the AEGL value?

12           UNIDENTIFIED MAN: Eagle?

13           MR. CLOONAN: Yes, A-E-G-L.

14           When you read these documents, you will  
15 start to learn significant definitions and  
16 acronyms. It's a real challenge.

17           UNIDENTIFIED MAN: I'm not familiar with  
18 that.

19           MR. CLOONAN: It's a real challenge.

20           To accomplish the NPPTL use guideline  
21 intent, the lab has developed a NIOSH document  
22 formally entitled, "Guide to the Technical Use of

1 Chemical, Biological, Radiological, and Nuclear  
2 (CBRN) Open Circuit, Pressure-Demand Self-Contained  
3 Breathing Apparatus (SCBA) Respirators Certified  
4 Under 42 CFR Part 84."

5 That's a very long title. So  
6 consequently, we have a short title to support  
7 that. It's the CBRN SCBA User's Guide.

8 The long title is intentional and  
9 designed to be accurate in reflecting the formal  
10 description of a respirator and prevent  
11 misinformation by clearly describing the  
12 respirator, what protection it is rated at, and the  
13 fact that the SCBA is a respirator in accordance  
14 with 42 CFR Part 84.

15 You would be surprised how many  
16 responders think an SCBA is a respirator.

17 This guide is intended to assist  
18 emergency responders in determining best in-use  
19 practices, transferring those practices into  
20 training programs, and serves as a reference that  
21 contributes to increasing CBRN weapon defense  
22 readiness at the end-user level.

1           The SCBA guide does these actions by  
2 describing user guidance that focuses on technical  
3 functions of the SCBA, technical interpretations of  
4 service times, and formal NIOSH internal and  
5 external review comments.

6           Currently, the guide is draft for  
7 discussion. It has six chapters with six  
8 appendices.

9           Chapters 1, 2 and 3 address significant  
10 steps taken by NIOSH in determining the rationale  
11 for CBRN SCBA certification standard development,  
12 applicable unique CBRN design requirements, and the  
13 integration of certification approval factors with  
14 production model CBRN safety markings and labels.

15           Each CBRN SCBA has common NIOSH cautions  
16 and limitations, but also has unique manufacturer  
17 CBRN markings specific to that manufacturer's  
18 specifications.

19           The guide discusses all the available  
20 production model safety markings present in the  
21 marketplace and describes those markings in an  
22 effort to help the end-user identify CBRN SCBA from



1 non-CBRN SCBA.

2           That's an important distinction because  
3 if you are an end user, a lot of end users don't  
4 know the difference between a NIOSH-approved SCBA  
5 and a NIOSH/CBRN-approved SCBA.

6           So when they read this document, they are  
7 going to learn how and they are going to easily  
8 recognize a product in the field if in fact they  
9 use it the field effectively.

10           Chapters 4 and 5 are focused on best  
11 practices and application of NIOSH cautions and  
12 limitations, and I will discuss them further in the  
13 next two slides.

14           Chapter 4: CBRN Respirator Use Life, also  
15 coined as CBRN Respirator Use Life, C-R-U-L.

16           CBRN respirators need easy references to  
17 service life of actual in-use time. The C-R-U-L  
18 does that. Bear in mind, this is draft. It's all  
19 eventually subject to change, but it is a working  
20 acronym which may serve its purpose.

21           Chapter 4 is a pivotal trend setting  
22 chapter because it applies and interprets the NIOSH

1 cautions and limitations for the CBRN SCBA and  
2 creates the terminology of CBRN Respirator Use  
3 Life, or C-R-U-L.

4 C-R-U-L is a draft working acronym that  
5 is easy to use in describing the in-use service  
6 life of a contaminated CBRN respirator.

7 C-R-U-L applies to contaminates from  
8 chemical warfare agents only. It is a time value  
9 that is not applicable to TICs, TIMs, biological,  
10 or radiological contaminations because it is  
11 understood that end users can wash those  
12 contaminants off, but cannot necessarily wash off  
13 the permeating effects of chemical warfare agents.

14 CRUL is new because new limitations are  
15 in effect for CBRN respirators. These respirators  
16 have defined time values, usually in hours, built  
17 into the limitations.

18 For the CBRN SCBA, the limitation label  
19 "U," the letter U, is specific to the respirator  
20 and states that the SCBA should not be used beyond  
21 six hours after initial exposure to chemical  
22 warfare agents to avoid possibility of agent

1 permeation.

2           The unit of measure for the CBRN SCBA  
3 CRUL value is in hours, and this hour value of six  
4 is not divided in any shape or form.

5           And that, of course, is elapsed  
6 continuous time, that six hours.

7           Just as NIOSH industrial respirator use  
8 concepts are dependent on specific NIOSH cautions  
9 and limitations approved with a class of  
10 respirators, CBRN respirator readiness checks  
11 focused on before, during, and after actions are  
12 depending on NIOSH approved cautions and  
13 limitations as well.

14           Before use operational checks are listed  
15 in the guide and serve as a friendly reminder that  
16 normal pre-use checks should be done with emphasis  
17 on the integration of available quantitative and  
18 qualitative CBRN weapon detection, monitoring, and  
19 sampling processes vital to determining the start  
20 time of a CRUL value.

21           Specific actions are defined in the  
22 section on user actions during an incident

1 response.

2           During incident readiness checks describe  
3 actions for donning, user seal checks, doffing,  
4 escape, component failure, use of a bypass valve  
5 for purging contaminants, immediate decontamination  
6 actions, and when to start processing contaminated  
7 CBRN SCBA hardware systems with or without cylinder  
8 for disposal.

9           A CBRN SCBA has six hours of in-use  
10 service life when exposed to confined chemical  
11 warfare agents.

12           In support of this six-hour in-use  
13 service life value, cylinder rated service time  
14 will have to be understood and breathable air  
15 re-supplied to attain the full six hours of  
16 expected use.

17           You can use this document without knowing  
18 the product. You have to be a trained user to  
19 understand this technical guide.

20           Once contaminated, CBRN SCBA are in fact  
21 single-use respirators.

22           In the after actions readiness checks

1 section, the guide addresses unmasking procedures  
2 with available detection platforms, system doffing,  
3 system decontamination, system handling and  
4 disposal.

5           Lastly, special use topics such as use of  
6 CBRN SCBA with Level A and B, protective ensembles,  
7 why a CBRN SCBA is recommended over a non-CBRN  
8 SCBA, how a CRUL time value is determined when  
9 Level A is worn, how protective suit bypass-through  
10 devices -- I'll say again -- how protective suite  
11 pass-through devices are not CBRN approved as well  
12 as RIT PPE cylinders, law enforcement requirements  
13 and explosive ordnance disposal/bomb suit interface  
14 challenges are also discussed.

15           When the user of this guide is done  
16 reading it, he or she should be able to recognize  
17 and discuss the seven distinct traits of a  
18 NIOSH-approved CBRN SCBA.

19           They are listed to your front and  
20 essentially consist of four types of adhesive  
21 labels, one type of paper insert, the inclusion of  
22 the CBRN letters and the official NIOSH Technical

1 Certification TC-13F approval number, awareness of  
2 unique manufacturer markings, and knowing the  
3 difference between a NIOSH-Approved SCBA and a  
4 NIOSH CBRN-Approved SCBA.

5           When the first NIOSH CBRN SCBA approval  
6 was issued, CBRN SCBAs were expected to be fielded  
7 to emergency responders at an accelerated pace.

8           After all, CBRN SCBA are unique  
9 respirators in that the SCBA can perform three  
10 different emergency response missions  
11 simultaneously and support the accomplishment of a  
12 fourth response mission.

13           The CBRN SCBA can provide protection in  
14 structural firefighting, hazardous materials  
15 response, and CBRN incident response without  
16 exchanging any parts.

17           It can also support, from a field  
18 perspective, which is law enforcement clandestine  
19 meth lab insertions when noise and light discipline  
20 measures are not required by law enforcement  
21 responders.

22           Observations of homeland security

1 exercises, SCBA training courses, and municipal  
2 SCBA maintenance programs show that both non-CBRN  
3 SCBA and CBRN SCBA are in use by emergency  
4 responders today.

5 Fire service use of CBRN SCBA is  
6 progressing with entire departments being fully  
7 outfitted with CBRN SCBA, other departments with  
8 phased purchase programs, and still others with no  
9 CBRN SCBA available at all.

10 Some concerns about the in-use service  
11 life of a CBRN SCBA that has been in the field for  
12 an extended time have surfaced.

13 When a used CBRN SCBA has hours logged on  
14 as a traditional firefighting SCBA, its air  
15 pressure boundaries and materials must maintain  
16 NIOSH CBRN performance approval thru strict  
17 compliance with the manufacturer's user  
18 instructions and applicable quality assurance  
19 control measures on parts replacement and  
20 serviceability.

21 A fire hardened SCBA should not lose its  
22 CBRN protection over time any more than a non-CBRN

1 SCBA loses its fire resistance over time, fair wear  
2 and tear of a respirator being an exception.

3 A used or field deployed SCBA, a  
4 retrofitted SCBA, that is retrofitted to CBRN  
5 protection is required by NIOSH to have a minimum  
6 of 400 hours of use time logged before submission  
7 to NIOSH for CBRN Retrofit Approval.

8 The addition of a CBRN retrofit kit to  
9 this field-deployed SCBA brings that SCBA up to  
10 acceptable minimum NIOSH CBRN standards of  
11 performance and readies that respirator for use in  
12 a CBRN environment, despite the accumulated effects  
13 from over 400 hours of use.

14 Provided the SCBA is properly maintained  
15 and serviced, the CBRN SCBA, is expected to provide  
16 the minimum CBRN protection as required by NIOSH  
17 for all emergency responders.

18 If there is continuing doubt over a  
19 specific type of CBRN SCBA to protect a responder,  
20 perhaps a rotating stockage of CBRN SCBA is an  
21 option or the issuance of CBRN SCBA on transports  
22 strictly for CBRN response and thus allow dedicated



1 use of non-CBRN SCBA for traditional responses.

2 A recent informal assessment of 25 fire  
3 department municipalities across the nation showed  
4 that less than 25% of them actually have CBRN SCBA  
5 on hand.

6 It also showed that over 40% are  
7 projected to receive CBRN SCBA as full or partial  
8 purchases through the year 2006.

9 This means that traditional NFPA NIOSH  
10 approved SCBA are currently still widely used by  
11 firefighters.

12 With the recent endorsement by the  
13 Department of Homeland Security, CBRN respirators  
14 are specified in DHS equipment grant awards and are  
15 being purchased by both fire and law enforcement  
16 response jurisdictions over time.

17 CBRN SCBA also have a role in protecting  
18 bomb technicians that render safe improvised  
19 explosive devices or sophisticated explosive  
20 devices. Bomb technicians have special respirator  
21 needs specific to the type of bomb suit worn.

22 CBRN SCBA are not ballistic hardened, and

1 not all types are compatible with available bomb  
2 suit technologies.

3 All of the mentioned responders have  
4 unique use requirements and applicable guidelines  
5 that allow future publication of additional NIOSH  
6 CBRN respirator use guides tailored to their needs.

7 In other words, there's the opportunity  
8 to develop more guides based upon future  
9 observations of end users.

10 Current use technologies and procedures  
11 serve as a foundation of CBRN respirator use  
12 guidelines. Recent observations of DHS full-scale  
13 terrorism exercises and a special weapons and  
14 tactics team SCBA training course show the need for  
15 a NIOSH CBRN SCBA User's Guide is paramount now.

16 Eight generic observations are listed for  
17 full scale exercises:

18 No. 1: Non-CBRN SCBAs are used by  
19 federal responders and local responders alike.

20 CBRN SCBAs are either in short supply,  
21 not used at all, or are fully used in those  
22 municipalities that can afford to purchase or

1 procure them.

2 CBRN and Non-CBRN APR are used by local  
3 responders, local versus federal.

4 CBRN APR are used with training CBRN  
5 canisters, case in point, this product, this is a  
6 training canister. And some manufacturers have put  
7 training labels on the CBRN can to make a  
8 distinction between a training can and contingency  
9 can for use.

10 Mil spec NBC respirators, military  
11 specification, nuclear, biological, and chemical  
12 respirators, are used by follow on first-in federal  
13 responders, despite the fact that there are NIOSH  
14 CBRN APR approvals currently existing.

15 Federal responders are true first  
16 responders in Saratoga suits with Mil Spec APR.  
17 Firefighters in turnout gear and SCBA assess attack  
18 victims, triage them, and evacuate them to the  
19 decontamination corridor.

20 Once casualties are evacuated from attack  
21 site or in parallel time, federal responders  
22 conduct crime scene investigation and contamination

1 mitigation in Level A and B protection. Crime  
2 scene photography is also done in Level A or B  
3 protection configurations.

4 Full Scale response shows no  
5 closed-circuit SCBA in use.

6 Where is Mr. Kovac at? Is he missing  
7 this dynamic presentation, Frank?

8 MR. KOVAC: Not at all.

9 MR. CLOONAN: I'm just kidding. There he  
10 is.

11 Want to take a break? No, I'm just  
12 kidding.

13 Protecting the interface between a Level  
14 B suit hood surface and respirator surfaces are not  
15 a priority in training for select federal  
16 responders. Chem tape is not used on head  
17 respirator interface most likely due to a training  
18 decision not to use tape during training exercises  
19 to avoid heat stress.

20 A high percentage of local municipality  
21 responders are in Level C with some response teams  
22 ramping up for Level B Hazwoper response, but then

1 standing down.

2 Full spectrum of available PPE is used in  
3 a four-hour federal full-scale exercise.

4 All of these full-scale exercise  
5 observations are transferable into appropriate use  
6 guide recommendations for during incident actions,  
7 specifically, respirator in-use service life,  
8 compatibility with protective suit ensembles,  
9 effectiveness of responders while wearing PPE, and  
10 most commonly observed PPE breach actions.

11 Continuing observations.

12 A non-profit organization of law  
13 enforcement responders called the National Tactical  
14 Officers Association is training SWAT teams across  
15 the nation on how to use SCBA in support of meth  
16 lab raids and CBRN responses.

17 Recent observations show the following:

18 One: NIOSH Approved Industrial SCBA are,  
19 in fact, in use.

20 NIOSH CBRN SCBAs are not in use.

21 NFPA compliance is requested by NTOA or  
22 SWAT officers on the ground. I say again, NFPA

1 compliance is not requested by NTOA or SWAT  
2 officers on the ground.

3 NIOSH CBRN approval is recognized as a  
4 need, but not requested, because it has NFPA  
5 compliance tiered into the SCBA, and that is  
6 perceived to contribute to the possible compromise  
7 of a SWAT mission or operator.

8 The SWAT National Tactical Officers  
9 Association recommends formal testing on the  
10 effects of sniper rounds on SCBA and SCBA  
11 cylinders.

12 Formal testing may prove that ballistic  
13 hardened CBRN SCBA are needed by law enforcement.

14 Formal testing may also show that  
15 emergency release buttons or switches are needed on  
16 CBRN SCBA to allow compromised cylinders to be  
17 ejected in a safe zone or to stop the SCBA from  
18 being ejected from the back of a SWAT officer.

19 Formal testing may also show that current  
20 NFPA compliant SCBA or CBRN SCBA are too noisy for  
21 law enforcement use and also are to  
22 shiny/reflective for use in stealth missions.

1           Additionally, law enforcement use of CBRN  
2 SCBA or non-CBRN SCBA is constrained by the  
3 following factors:

4           Cylinders on SCBA are targets for  
5 ballistic round penetration. Just as the SWAT  
6 officer can be taken down by a gunshot wound, an  
7 SCBA hit by a bullet can catastrophically destruct  
8 and cause collateral damage.

9           Ballistic vests are worn by law officers;  
10 However, there is no ballistic protection for SCBA.  
11 Ballistic kevlar cylinder sleeves are possible  
12 solutions to harden or protect the compressed air  
13 cylinder of a SCBA.

14           Proper use of the SCBA is not possible if  
15 the SCBA is compromised by a gunshot or is too  
16 heavy as a result of added ballistic protection  
17 panels.

18           So there is a correlation factor back to  
19 a use guide.

20           SCBA currently have no emergency release  
21 buttons or switches built in to allow ejection of  
22 the SCBA from the wearer's back allowing the wearer

1 to use the ground or close by barriers for  
2 protection while the SCBA expends its compressed  
3 air.

4 Proper doffing of the CBRN respirator  
5 needs addressed by technical requirement standards  
6 development and resulting user guidance  
7 publication.

8 Loose cylinders used to refill empty SCBA  
9 cylinders can be likewise targeted and  
10 catastrophically destructed generating an extremely  
11 dangerous user workplace and prevent the proper use  
12 of the SCBA and its components.

13 Proper protection measures of SCBA  
14 cylinders need technically addressed to allow safer  
15 use of CBRN respirators and provide minimum  
16 protection in the case of catastrophic expenditure  
17 of high pressure air cylinders.

18 As you can determine for yourself, the  
19 draft NIOSH CBRN SCBA User's guide is a dynamic  
20 publication subject to the completion of the formal  
21 NIOSH external review process, public comment  
22 integration, and final print copy processing.



1           Please send your professional comments to  
2 the NIOSH docket office information provided by Jon  
3 Szalajda, Attention NIOSH Docket 052.

4           Thank you for your attention and support.  
5 I will be followed by Mike Bergman to assess the  
6 CBRN APR User's Guide.

7           MR. BERGMAN: Hello. I would like to say  
8 it is a great opportunity the present this  
9 information in a public forum, and your comments  
10 are extremely important to myself as well as the  
11 mission of the documents.

12           Again, the docket closes August 31. And  
13 I would like to present the CBRN air-purifying  
14 respirator -- we call it the gas mask or a APR --  
15 use guidelines.

16           For an overview, the statement -- the  
17 statement of standard was passed in March 2003.  
18 It's a 14-G approval under 42 CFR part 84.

19           There are a 139 identified CBRN threat --  
20 CBRN canister threat protections. And the APR has  
21 a NIOSH assigned protection factor of 50.

22           There are cautions and limitations

1 specific to use in CBRN environments, one of those  
2 being the CRUL value, as Terry spoke about, for --  
3 which is a time use limitation for chemical warfare  
4 agent exposure.

5 I'm going to be talking about the  
6 canister cap, or canister capacity or cap  
7 selection.

8 The provision for canister  
9 interchangeability, which a crisis provision, to  
10 use a canister from another manufacturer when  
11 supplies are limited, there is an escape  
12 contingency from IDLH environments, which is based  
13 on five-minute gas life tests at a high flow rate.  
14 And then I'm going to be discussing industrial use  
15 versus CBRN's use of the system.

16 We have to talk a bit about the OSHA  
17 respiratory protection standard in that, for  
18 compliance for that standard, there is a  
19 requirement for a determination of medical fitness,  
20 fit testing, requirements and procedures for  
21 cleaning, maintaining, repairing, storing, and also  
22 a canister change schedule for gases and vapors.

1           Bear with me for just a second here.

2           I would like to summarize a few points  
3 here. For CBRN APR use, all of the following  
4 conditions must be met:

5           That is the types of inhalation hazards  
6 and concentrations have to be identified.

7           The CBRN canister is capable of removing  
8 the hazard, but the oxygen concentration is not  
9 oxygen deficient.

10           Contaminant concentrations are less than  
11 IDLH and less than the APR's maximum use  
12 concentration. And there is a canister change  
13 schedule established in the case for gases and  
14 vapors, and that use complies with all identified  
15 NIOSH cautions and limitations.

16           There is a joint OSHA NIOSH project which  
17 is located on the OSHA website, which are interim  
18 guidelines for the identification of respirator and  
19 protective clothing selection for CBRN  
20 environments.

21           Again, you can find it on the OSHA  
22 website by following the emergency response links.

1 And for blister agents and nerve agents, the PPE  
2 selection is given at defined airborne  
3 concentrations.

4 Here we have an example of a CBRN APR  
5 canister sticker label. I know you can't read the  
6 fine print there, but I did want to show this in  
7 that it identifies the NIOSH approval number, the  
8 protections, and the cautions and limitations.

9 Again, the CBRN canister has 139  
10 identified CBRN threats. The canister is tested  
11 using 11 test representative agents. There are ten  
12 gases and one particulate aerosol.

13 The challenge concentrations of gases are  
14 multiples of IDLH of the test representative agent.

15 We have to get into a discussion about  
16 canister service life here.

17 In general, service life is the time of  
18 use of the canister against a gas vapor before  
19 there is a specified breakthrough concentration.

20 There are a number of factors which  
21 affect canister service life. Some of these deal  
22 with the absorbent amount and quality,

1 environmental conditions, such as the temperature  
2 and humidity, as well as the work rate of the  
3 wearer.

4           Excuse me just a second here.

5           Canister capacity. There are six  
6 identified levels of canister capacity. And  
7 canister capacity relates to the amount of gases or  
8 vapors the canister can remove from the  
9 contaminated air. The capacity levels are based on  
10 NIOSH certification testing.

11           We can understand canister capacity by  
12 reviewing it as a relative capacity compared to the  
13 Cap 1 canister at similar exposure concentrations.

14           For example, the Cap 2 canister has about  
15 twice as much capacity for gases and vapors as the  
16 Cap 1 canister at similar exposure conditions.

17           There is an OSHA requirement for a change  
18 schedule which specifies that it be based on  
19 objective information or data that will ensure that  
20 the canisters are changed before the end of their  
21 service life.

22           This applies again to gases and vapors,

1 not particulates. And where there is no end of  
2 service life indicator appropriate, you must have a  
3 change schedule.

4 And, again, CBRN APR are not currently  
5 approved with an end-of-service-life indicator.

6 Canister interchangeability, as I spoke  
7 about at the beginning of the presentation, is a  
8 provision under a crisis situation with our limited  
9 supplies of your particular canister for your  
10 particular facepiece.

11 That is, you can use another  
12 manufacturer's canister in this case of restricted  
13 supply.

14 It is possible by the standard  
15 requirement of standardized threads and interface  
16 connectors on the mask.

17 The decision to proceed with  
18 interchangeability is the responsibility of the  
19 incident commander or other commanding authority  
20 under crisis conditions.

21 And when a system is assembled in such a  
22 manner, it is not in its NIOSH-approved

1 configuration. So, again, just to emphasize that  
2 this is really a provision in time of crisis.

3 We have to talk about the difference  
4 between CBRN use and industrial use in that the  
5 same facepiece part number may be part of different  
6 approved respirator configuration.

7 There can be a CBRN approval, or it can  
8 be an industrial approval. The approved  
9 configuration will specify if it is a CBRN canister  
10 or if it uses an industrial canister, for example,  
11 a P-100.

12 The CBRN canister should not be used for  
13 routine industrial use, and the CBRN canisters  
14 should remain in their sealed packaging until  
15 needed for CBRN response.

16 And this is possible by making sure to  
17 maintain the system in accordance with the  
18 manufacturer's maintenance requirements so that  
19 that system is always ready if needed for CBRN  
20 response, that you can change the industrial  
21 canister to a CBRN canister and then proceed.

22 Terry talked a bit about the CRUL, the

1 CBRN Respirator Use Life.

2           And for the CBRN APR, it is an eight-hour  
3 use life in the case of chemical warfare agent  
4 vapor, or a two-hour use life in the case of  
5 chemical warfare agent liquid.

6           And what we are really talking about here  
7 is a system use life, that is the entire system,  
8 the facepiece, canister, and all of the  
9 accessories.

10           The CRUL time includes the  
11 decontamination time. And at the end of that CRUL  
12 time, the entire system gets disposed.

13           The chemical warfare agents applicable to  
14 the CRUL time constraint are nerve agents, G and V  
15 agents. I have some examples there. And blister  
16 agents, mustard and Lewisite. And I have some  
17 examples of that as well.

18           I'm going to talk just a bit to finish up  
19 here about canister change schedule methods.

20           We have the CRUL time constraints  
21 software, which are mathematical models available  
22 on the OSHA with website as well as through the



1 manufacturer's sites.

2           Manufacturers' test data, and the rules  
3 of thumb, which are actually not to be used as a  
4 sole method for determining a change schedule, but  
5 are a supplemental tool.

6           So the CRUL value, as I said, it is eight  
7 hours for vapor or two hours in the liquid. And,  
8 again, this is just chemical warfare agent, nerve  
9 agents, and blister agents.

10           Those eight-hour value and the two-hour  
11 value are going to apply, regardless of if there is  
12 a longer calculated canister service life. And,  
13 again, this CRUL time constraint applies to the  
14 entire system, facepiece, accessories, and  
15 canister.

16           Software on the OSHA website, you have  
17 two programs. The breakthrough program is more  
18 recent and corrects for relative humidity.

19           Both programs calculate a change schedule  
20 only for individual or organic vapors only.

21           The manufacturers may have their own  
22 calculators on their sites, and -- which is

1 extremely useful in that their CBRN canister may  
2 actually be part of their software package. So,  
3 again, that is a very useful item.

4 The manufacturer may have data on a  
5 specific chemical itself.

6 And just to point out the rules of thumb,  
7 they are available on the OSHA website. However,  
8 again, it is emphasized that they are not to be  
9 used as the sole method for developing a change  
10 schedule.

11 And I would like to thank you very much  
12 for your time. I look forward to hearing your  
13 comments. Again, they are very important that they  
14 are submitted to the docket, and thank you very  
15 much.

16 I'll take questions. Should I sit up  
17 there?

18 Any questions or comments?

19 MR. SZALAJDA: Okay. Thank you.

20 I hope you guys don't expect to go  
21 through the program as quickly tomorrow. But we  
22 what we would like to do prior to concluding the

1 meeting, we have a survey regarding what was  
2 discussed at the meeting that we would like to pass  
3 out.

4 The sponsors will pass them out. If you  
5 could complete the survey, pass them back to the  
6 center. Maybe take about five or ten minutes to  
7 complete that now.

8 (A brief recess was taken.)

9 MR. SZALAJDA: Did everyone get an  
10 opportunity to complete the survey? If not, can  
11 you raise your hand if you need one? Okay.

12 At this point, what we would like to do  
13 is open the floor for a few minutes for any public  
14 comments based on the material that was presented  
15 today.

16 Andy Capon from the UK indicated some  
17 familiarity with what BSI is doing with regard to  
18 development of CBRN standards, would like to make a  
19 couple of minutes of remarks.

20 Does anyone else have anything anybody  
21 would like to add?

22 Okay, Andy.

1           MR. CAPON: At the beginning of the day,  
2 Jon said that there was some work going on in  
3 Europe in collaboration with what NPPTL were doing  
4 with across the water, about what we were doing in  
5 Britain and in Europe in particular about creating  
6 our own CBRN standard.

7           Just to put a little bit of meat on that  
8 so -- to show that the work that is being done over  
9 here in the U.S. is not parochial to the U.S., but  
10 is being considered over the water.

11           About a year ago, the manufacturers  
12 association of the UK, what's called PSEMA, the  
13 Protective Safety Equipment Manufacturers  
14 Association, requested that British Standards, BSI,  
15 looked into creating a BSI UK standard for CBRN  
16 products.

17           This was taken up by BSI, and we have  
18 been working as a drafting group on two standards  
19 which reflect initially self-contained breathing  
20 apparatus and also air-purifying respirators.

21           And the line that we have taken is that  
22 there are very well developed standards in Europe,

1 the CEN standards, for BA and for air-purifying  
2 respirators.

3           And we took the view that we would take  
4 those standards as the basis and add to them the  
5 CBRN permeation type of testing and requirements  
6 and also the filter gas testing requirements that  
7 have been developed in the U.S. by NIOSH so that  
8 manufacturers who wish to avail themselves in the  
9 future of getting a British standard, a BS  
10 standard, CBRN standard, won't necessarily have to  
11 create absolutely new and different filters because  
12 the requirements for filter gas life that are the  
13 same will be the same.

14           You will have to have facepieces that  
15 meet the European standards, but as long as you can  
16 show that your equipment not only meets the  
17 European standards for breathing apparatus or the  
18 facemasks, but, in addition, meets your existing  
19 requirements for the NIOSH permeation testing, like  
20 in SMARTMAN, then effective, you will have the  
21 basis of the British standard approved package for  
22 CBRN.

1           The hope is that once this document or  
2 documents have been developed, they can form the  
3 basis of either the CBRN EN standard, or be  
4 submitted to ISO as part of the ISO work in the  
5 future to create worldwide CBRN standards.

6           I hope that was of value to you to  
7 understand that what you are doing over here is not  
8 parochial, and we are definitely taking it on board  
9 and developing it in a more European way, but we do  
10 have to use as the basis the fully developed EN  
11 standards that we have over there.

12           Thank you, Jon.

13           MR. SZALAJDA: Thank you, Andy.

14           Any other comments at this time?

15           I think just in summary for what you have  
16 heard today and as far as the road going forward  
17 following this public meeting and the comment  
18 period, there will be a new addition of the  
19 closed-circuit concept paper that will be generated  
20 and posted.

21           Additionally, you saw a list of  
22 benchmarking testing that still needs to be

1 accomplished.

2 I think the one thing of note is that we  
3 will be focusing and working on doing the testing  
4 with the chemical warfare agents at our partner's  
5 laboratories in Edgewood.

6 Our target date for the next public  
7 meeting will be within the first two weeks in  
8 November. We are looking at having that in  
9 Pittsburgh as well.

10 We will hopefully be providing some more  
11 definition on that in the near future.

12 But overall, our time frame for  
13 implementing the closed-circuit standard is going  
14 to be determined in part by the completion of the  
15 technical requirements and then making a  
16 determination on how the standard will be  
17 implemented, whether it is by policy or through  
18 rulemaking provisions.

19 Again, the docket information for  
20 receiving your closed-circuit CBA comments.

21 For the respirator guidelines, again, the  
22 disk that was available in the back, two of the

1 three products are available on the disk. The  
2 third you can download from the NPPTL website at  
3 this address.

4 The docket will be open through August  
5 31. And September 1, if you try to go to the  
6 website and find this information, it will be gone.

7 So I encourage you to look at this sooner  
8 than later if you are intending on making comments.

9 But part of our process in following  
10 through the procedures that Terry outlined is that  
11 we will be moving towards an external peer review  
12 process for these guidelines and releasing them  
13 early in 2006.

14 The docket number is 52 for the draft  
15 guidance.

16 And with that, we are going to start at  
17 8:30 tomorrow. The focus of the meeting, again,  
18 will be to cover the CBRN PAPR as well as the  
19 release of the industrial -- the initial concept of  
20 the -- the concept for the industrial PAPR.

21 There is a -- we have a lot of  
22 information to purvey tomorrow, so I would imagine



1 that the schedule will be pretty full between 8:30  
2 and when we conclude at 3.

3           Enjoy your extra time today. Downtown  
4 Pittsburgh is only an half an hour away down Route  
5 19, which is about six miles. But given the state  
6 of transportation in Pittsburgh, we like to talk  
7 about distance in terms of time.

8           But we hope that this location will give  
9 you some things to do between tomorrow. Station  
10 Square is not too far away. There is also the  
11 Pirates. If you are in the mood to watch some bad  
12 baseball, the Pirates are in town, so...

13           Actually, it is, if you haven't been to  
14 PNC park, it is a very nice venue for watching a  
15 ball game, and there is never trouble getting  
16 tickets.

17           So with that, thank you, and we will see  
18 you at 8:30.

19           (Whereupon, the proceedings in the above  
20 matter were concluded at 2:12 p.m.)

21  
22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

CERTIFICATE OF REPORTER

I, Joseph A. Inabnet, do hereby certify that the transcript of the foregoing proceedings was taken by me in Stenotype and thereafter reduced to typewriting under my supervision; that said transcript is a true record of the proceedings; that I am neither counsel for, related to, nor employed by any of the parties to the action in which these proceedings were taken; and further, that I am not a relative or employee of any attorney or counsel employed by the parties thereto, nor financially or otherwise interested in the outcome of the action.

\_\_\_\_\_  
Joseph A. Inabnet  
Court Reporter