

Dragon, Karen E.

From: Rehak, Timothy R.
Sent: Monday, May 12, 2003 10:38 AM
To: Dragon, Karen E.
Cc: Kovac, John G.; Stein, Robert
Subject: Transcript for the PAPR Public Meeting

Karen:

Please insert the attached transcript for the Multi-function PAPR Public Meeting held April 14th in Arlington, VA into the docket for PAPRs (NIOSH 008).



Transcript_PAPR_4-
10.doc (138 ...)

Timothy R. Rehak, P.E.
General Engineer
NIOSH-NPPTL

NIOSH/NPPTL Public Meeting to Discuss Standards for
Respiratory Devices Used to Protect Workers in
Hazardous Environments

MULTI-FUNCTION PAPR PROJECT

April 10, 2003 - 12:30-5:00 p.m.
Marriott Key Bridge Hotel - Arlington, Virginia

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

In the following transcript (sic) demotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

In the following transcript (phonetically) indicates a phonetic spelling of a word if no confirmation of the correct spelling is available.

In the following transcript "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

In the following transcript "*" denotes a spelling based on phonetics, without reference available.

In the following transcript (inaudible) signifies speaker failure, usually failure to use a microphone.

P R O C E E D I N G S

1
2 **TIMOTHY REHAK:** If everyone could get seated, we'll be
3 ready to start in a moment here.

4 Good morning, I'd like to welcome you on behalf of NIOSH-
5 National Personal Protective Technology Laboratory for
6 attending this public meeting this morning. The National
7 Institute for Occupational Safety and Health with the
8 assistance of the University of Maryland is currently
9 conducting research for new comprehensive standards for multi-
10 functional Powered Air Purifying Respirators.

11 The agenda today, we have to strictly adhere to this
12 because we have a number of outside speakers. First following
13 my introductions and some administrative remarks, we'll have
14 John Kovac with NIOSH provide an overview of the project.
15 Then we'll move to Dr. Johnson, who will talk about the
16 current status of the research they are doing. Then following
17 a break we'll go to Kate Mackey with the University of
18 Maryland. Then we have five outside speakers, Jacque Forrest
19 with Centurion Products, Göran Berndtsson with the SEA Group,
20 Mackey Shinomiya, then we have Joe LaMonica and also Joe Main.
21 Each of the presentations should last approximately 20 minutes
22 or so, then you'll have 5-10 minutes with questions and
23 comments. The outside speakers, since we have a number of
24 them, we have to limit you to 15 minutes, then we'll have time

25 at the end for more comments or questions. Any one interested
26 in holding private one-on-one meetings with NIOSH, I'm the
27 contact point, again, my name is Tim Rehak. I'm with NIOSH-
28 NPPTL in Pittsburgh, PA. My phone number is listed there
29 along with my e-mail address. All this information is in the
30 packet of information that was passed out so you have it
31 there.

32 The purpose of this public meeting, first, we're going to
33 present the research activity for new standards for a multi-
34 functional PAPRs. They include: respiration, vision,
35 communications, wearability, hearing protection. The research
36 is being conducted by the University of Maryland for NIOSH.

37 Logistics: Hopefully everyone signed in outside before
38 you came in. You can get a list of the attendees afterwards;
39 they will be all typed out, if you need to know who was in
40 attendance. One thing I want to point out, this meeting is
41 being recorded and will be transcribed and sent to our docket
42 office if any one wishes to get this information. Again,
43 we're going to follow the agenda very strictly because we have
44 a number of speakers that requested to talk. Anyone that has
45 questions or comments, please use the microphone in the middle
46 of the room. Give your name, who you're with, because it will
47 be a part of the official record. Information again, here is
48 the docket number, any information you request or need from

49 the docket office. You need to request NIOSH 008 that's the
50 docket number for the PAPRs. Again this information, the
51 mailing address, so forth is in the handouts. Basically, we
52 want all comments to the docket office by June 1, if you wish
53 to submit anything. Without further a do, unless there is any
54 questions. I call on Mr. John Kovac with NIOSH to give the
55 project overview.

56 **JOHN KOVAC:** Good afternoon, my name is John Kovac and
57 I'm going to give you a briefing on what will follow this
58 afternoon at the public hearing. The goals of this activity
59 are to develop a new comprehensive test standard for certified
60 multi-function PAPRs. The reason why we're undertaking this
61 is that such devices may include protection against other
62 types of threats or hazard, including vision protection,
63 hearing protection, head protection and general isolation from
64 environmental contaminants. Besides providing respiratory
65 protection multi-function PAPRs must allow wearers to perform
66 their side duties without posing any additional burdens.
67 Problem is that is how to objectively evaluate candidate
68 equipment. What we're looking for and what we will end up
69 having are scientifically valid tests, for a device that will
70 be certified by the government reasonably meeting minimum
71 standards. We should note that appropriate standards with
72 dealing with multi-function PAPRs are not yet available.

73 Examples of such technology is a Centurion helmet, it's one of
74 many examples or will be among many examples, incorporating
75 not only respiratory protection but head/face protection, cap
76 lap, hearing protectors, and it was developed for application
77 in the mining industry. In terms of what we can deploy to
78 solve this problem and to resolve it in a fair way we have
79 assets at the NPPTL. We are working with the human
80 performance laboratory at the University of Maryland. They
81 have a long history of research and all wearability issues of
82 respirators. In addition, they are committed to taking the
83 bioengineering approach to the evaluation of likely standards.
84 We have collaboration with MSHA. Finally, we regard both
85 equipment manufacturers, as well as BCOA, National Mining
86 Association and the UMWA as our stakeholders in this activity.
87 Our approach is broken down into phases, four phases over a
88 period of 2 years. We're basically bridging between phases 1
89 and 2. Where we will have stakeholder interviews to determine
90 the relative importance of various equipment quality such as
91 vision, communication and head protection. We are going to
92 define likely work scenarios so that we have a standard
93 against which to test. Phase 2, we will develop appropriate
94 test criteria and validate them in laboratory. Phase 3, we
95 will have follow-up interviews to determine if there is any
96 unseen problems we should modify what we're proposing.

97 Finally, as an administration activity file a report detailing
98 the results of our investigations. Again, please remember
99 this is a research contract. This is the first step along the
100 road and not at the finish line. Our current status we have a
101 sole source procurement contract with the University of
102 Maryland and here is its contract number. We also have MSHA
103 support of this activity. What we're going to explore next
104 are the work that the University of Maryland is currently
105 doing. That's all I have, so if there's any questions. Okay.
106 Tim.

107 **TIMOTHY REHAK:** I'd like to introduce Dr. Art Johnson
108 with the University of Maryland. He's going to be talking
109 about the human factor's aspects of PAPR's.

110 **ART JOHNSON:** Thank you Tim. I'm going to talk to you
111 today about the things that we're incorporating within our
112 studies, as well as, some of the things that may be a little
113 peripheral but still, nevertheless, important to respirator
114 wearer in these studies. Then we're going to be breaking this
115 down into two groups of talks. I'm going to be talking about
116 basically about the research that we're be doing and then Kate
117 will be talking about, after the break, more about standards
118 that will be applicable to this project. The contract
119 objective, you may have already seen this but I wanted to
120 underscore this as being the contract objective because we are

121 suppose to recommend certification procedures and not
122 establish those certification standards. So that a lot of the
123 type of things we're doing will be related to the ability for
124 us to recommend. Protection and performance, those are the
125 two aspects of respirator wear that we've been interested in
126 over the last few years. Last few years, that's actually
127 probably about 30 years or something like that. Protection is
128 one that everybody is interested in. Protection needs to
129 occur, protection is there because there's airborne
130 contaminants that somebody has to be protected against. On
131 the other hand, respirator wear actually interferes a lot with
132 the performance of the tasks at hand. It's that performance
133 aspect that things that we've really been interested in over
134 the last few years. We are not as much experts in the
135 protection as we are in the performance because the
136 performance is where you have hidden costs of respirators.
137 That is, the cost of the respirators deal with the costs of
138 initial fit testing, prescription of a respirator, cost of the
139 respirator and so on. The hidden costs actually deal with the
140 performance decrements associated with doing work while
141 wearing those respirators. Those are continuing costs, and
142 you'd be surprised they're probably a lot higher than you
143 think. So those are both important and this presentation will
144 deal with, first of all, existing standards, that will be Kate

145 Mackey's part, I'll be dealing with the human factors, user
146 attitudes and practices and a questionnaire and some
147 performance parameters studies that we'll be looking at.

148 The human factors that we've found that deal with
149 respirator wear we have to look at the effects of the
150 respirator on respiration, communication, vision, heat
151 exchange, personal procedures such as eating, drinking,
152 blowing one's nose, scratching one's eye, rubbing one's eye,
153 what have you. Also the physical configuration of respirators
154 interferes also with the performance aspects of them,
155 especially in tight places. Anxiety level has been shown to
156 be extremely important. Past results in our lab has shown
157 human performance to be very directly related to respirator
158 characteristics. What that means here is that the respirator
159 directly affects the way humans perform the task that they're
160 assigned. A very, very brief summary of our past findings say
161 that, first of all workers in most cases and there is one
162 exception to this, can not work as long or as hard while
163 wearing a respirator as without so that's especially important
164 when performing physical work. Heat also effects comfort and
165 acceptability, that's another thing we've come up with and
166 then anxious people should not wear respirators. You probably
167 all know about those anxious people, there's a problem when
168 they wear the respirators. Respiration is a problem at high

169 work rates but not at low work rates so at low work rates, we
170 really don't have to worry about respiration of the
171 respirators, it happens at high work rates, although they may
172 be high work rates for short periods of time. We also need to
173 simplify communications procedures because of the results
174 we've obtained and some of the results from some of the
175 questionnaires from some of the users so that communications
176 seems to be the number one problem with wearing respirators.
177 Also vision effects are critical but vision effects are
178 usually very critical at light test rather than heavy tests.
179 So, the question is, we've done all these tests in our lab and
180 found out we've quantified, as a matter of fact, how much
181 performance decrement is associated with these different
182 factors of the respirators. The question is, are they the
183 same as what actually happens for real workers in the real
184 world. So we have attempted to put together a questionnaire
185 to assess both user attitudes and practices and statistics
186 here will provide both a quantitative analysis of things and
187 then the user comments will provide the qualitative things
188 that might be of interest. There were very, very few
189 published, at least, results from user comments of the
190 respirators that they wear. The questionnaire categories, we
191 have in the questionnaire: we have a brief medical history of
192 the users, a lot of different respirator types that they can

193 chose from as the ones they are familiar with. Which user
194 groups they belong to, the work activities they engage in
195 while wearing those respirators, their attitudes and their
196 practices. Respirator types of these, you recognize them, I'm
197 sure, as including whatever type you're interested in. User
198 groups that we have or will be interviewing with this
199 questionnaire are, of course, miners, fire and rescue workers
200 are also in there, construction workers are extremely
201 important because, in a lot of cases there are reports that
202 construction workers don't wear the respirators they should be
203 wearing. Agricultural landscaping turned out to be one of
204 those areas that we've been able to get the questionnaires
205 from so far. Medical personnel, if we can get those,
206 manufacturing industry, pest management and others, if we
207 could possibly do it, so those are our target groups. You can
208 see it's fairly wide. The work activities that the people are
209 going to be able to tell us about that they engage in while
210 wearing the respirators are the duration of the respirator
211 use, the frequency of the use, type of work activities and the
212 contaminant types that they deal with. So this is a
213 questionnaire that has a lot of questions in it, and it's a
214 bit imposing sometimes. Well the user attitudes are important
215 too because we have to know if they're with the program. We
216 have to know whether they submit to respirator wear with a

217 positive attitude or whether they are suppose to wear those
218 respirators and then first chance they get, when nobody's
219 looking they take them off. We've seen both of those cases in
220 our tests as well. The user practices, what do they do with
221 the respirators? Where do they get the respirator? What's
222 the frequency of the use of the respirator? Why do they have
223 to wear it? How much knowledge of fit testing do they have?
224 All of these are involved in this questionnaire. So the
225 questionnaire responses, we hope, then will lead to a better
226 understanding of which of the important aspects of respirator
227 wear to deal with the performance issues of respirators.

228 We also have, this is now a new topic, not associated
229 with the questionnaire but we also have some ideas about some
230 differences between users and we're checking those out as
231 well. We have noticed, some of you may have read our papers
232 in the American Industrial Hygiene Association Journal dealing
233 with the affects of respirator resistance on performance time
234 of people wearing those respirators. I think we did mention
235 in those papers that since we usually run about 12 to 15 or 16
236 subjects in those tests we usually report the overall results
237 of those tests. But if we look at some individuals within
238 that group of subjects, we found that some of those subjects
239 are very unaffected by the increase in respirator resistance,
240 even if we're increasing it by a factor of 2, 3, 4, 5 over

241 normal respirator inhalation resistances sometimes the
242 subjects don't seem to be affected by it. So we are wondering
243 if there's some other factor here that deals with something
244 internal to the human being that gives them the motivation to
245 continue working, while still wearing a respirator. That
246 would be extremely important information for a lot of
247 individuals. It would be extremely important for fire and
248 rescue workers, first responders, for instance, because those
249 are the people who are probably going to survive. It's also
250 going to be important to employers, because in that case,
251 you'll want to know who those people are because those people
252 are going to be the ones who are affected less by the
253 respirators. For those people who are in unions and stuff
254 like this, people on the worker's side you'll want to know
255 about that too, because you'll want to know which people
256 probably, you won't have to give that much attention to. The
257 questions then are how is performance related to the type of
258 personality that individuals have? That's going to be the
259 subject of a study that we're going to be running, hopefully,
260 this summer. One of my grad students, as a matter of fact
261 he's here, Frank Coe, he's right up here in the front, he's
262 going to be trying to do this study. Trying to look at
263 personality type and see if that really affects it. Because
264 it may not be anxiety as much as personality types that

265 affects the use of performance while wearing a respirator.
266 Another planned research, actually one that's undergoing right
267 now, is to look at the performance and emotional aspects
268 related to lens color in a respirator. It is highly likely
269 that lens color does affect both performance and also the
270 emotional state and we'd like to know that too. The question
271 you might have is to how that relates back to our multi-
272 function PAPR but I think this is a more general question and
273 certainly could relate back to the type of facepiece that you
274 have on the PAPR.

275 There's some continuing research we have. I've had a
276 grad student here working on mathematical modeling to predict
277 the performance time. This could eventually lead to being
278 able to design respirators and being able to test them without
279 going through the prototype stage, which would really be cost
280 saving for the respirator manufacturers. So we're using this
281 approach, we have the existing standards that we'll talk
282 about; we're talking about perceived importance of different
283 human factors. We're talking about user characteristics that
284 influence the performance and we're talking about the affects
285 of respirator design, which is the wearability issue. So with
286 the multi-function PAPR, we have actually several different
287 types, the Centurion helmet is not the only one that we'll be
288 looking at. We also can have, the Centurion is a lose fitting

289 but we also can have tight fitting but the problems with both
290 of those are a little bit different. Each one of those will
291 then offer respiratory protection, vision, hearing and head
292 protection.

293 There's a tight fitting, this is an example of a picture
294 of tight fitting PAPR. Of course, we have the loose fitting
295 PAPR that we've already seen before the Centurion System. Now
296 with the multi-function PAPR tight fitting, we think there are
297 some performance issues to look at, with loose fitting there
298 are some exposure issues. For instance, with the loose
299 fitting it's possible, we think, to over breathe the fan, the
300 air that is blowing in by the fan, and when that happens then
301 the air actually has to come from the outside. And because
302 it's a loose fitting PAPR the air comes and circumvents the
303 fan and the filter as well. This is where the instantaneous
304 breathing rate exceeds the air flow that is supplied by the
305 fan. The contaminated air then comes from the outside and
306 exposure then could be an issue for some contaminants. It's
307 important because what we're trying to do number one is to
308 protect the workers and if people are actually going to be
309 breathing more than the fans are supplying then the question
310 is how much and how important this can be. The exposure to
311 the contamination then, the doses that people get then are the
312 flow rate, the breathing flow rate times the concentration,

313 times the time. That's the dose that they get. There are
314 some published contaminant dosages that are out in the
315 literature, the PEL's by OSHA and the TLV's from ACGIH are out
316 there. But it seems like in both of those cases that the flow
317 rate seems to have been assumed for the times that we're there
318 because they talk about concentrations and they talk about
319 times but they don't talk about flow rates. We plan on
320 assessing this by measuring instantaneous breathing rates
321 during exercise, comparing the breathing rates against the
322 PAPR fan rates and then seeing whether over breathing occurs.
323 We're going to be using treadmill testing for this. We're
324 going to be testing at 80-85% of the maximum oxygen
325 consumption, because in that case it's fairly intense
326 exercise. We expect no more than a 15 to 20-minute maximum
327 duration of people working at this rate, so that kind of gives
328 you an idea, if you have to quit after 15 to 20 minutes of
329 work then you are probably in this neighborhood. We also have
330 found that the subjects are very sensitive to respiration
331 during this time and we expect high breathing flow rates. So
332 that's the testing procedures that we'll be undergoing. This
333 is a little bit of one of our past studies. The test one for
334 over breathing we've used the SEA tight fitting PAPR for this
335 and we used this because it had a nice data acquisition system
336 associated with it. We tested at that particular rate we

337 talked about before the data logger was recording
338 instantaneous pressure and their flow rate measurements. This
339 is some sample data that we got.

340 You may or may not be able to see that but I will
341 interpret a couple things for you. First of all, 10% of the
342 flow rates are above 530 liters/min in this particular sample.
343 20% are above 470 liters/min in this particular sample. The
344 question is if somebody is breathing at these rates, are they
345 going to be exposed to contaminate levels and dosages that are
346 going to cause them a health problem. However, because the
347 SCA still gives some resistance to the flow and that means
348 that in our past results, we've seen resistance means
349 hypoventilation. We're going to do this test in a number of
350 different ways. The second one, we'll be using a half-mask
351 with a Fleish pneumotach. A Fleish pneumotach is a flow-
352 measuring device with very, very, low resistance. We will be
353 measuring the air flow that way, and also we'll be measuring
354 it directly by this means. We have a portable breathing
355 chamber that we've constructed. We haven't tested yet but we
356 have constructed it. We're enclosing the head and the mask, a
357 separate inlet for the fan and we're measuring the net air
358 flow in and out of the mask. This is a picture of the
359 portable breathing chamber, which looks like it's a container

360 upside down, over somebody's head and that's exactly what it
361 is. And this is a block diagram of what it is.

362 The PAPRs there, the fan air comes in through a separate
363 inlet. The air that is being breathed actually comes in
364 through another pneumotach and if the air flow becomes
365 negative, then what we will be seeing is over-breathing
366 because that means that the air will be coming in a different
367 pathway than the fan could supply. Now again, that's loose
368 fitting exposure issues. The tight fitting, we have
369 performance issues, when the breathing rate exceeds the fan
370 flow rate and the subjects draw extra air through the filters
371 then the question is there, what kind of equivalent
372 resistance's are we talking about. I think Göran was the one
373 that asked me the question. I didn't have the answer to the
374 question Göran, so we're going to run the test. We're going
375 to find that out, because if the resistance that people have
376 to breathe through is only operative during the breathing
377 cycle, we really don't know how it affects the breathing
378 cycle. We are going to find that out. So we are going to
379 perform those tests and what we're going to do then is see
380 whether or not these things can enter into our recommendations
381 for the performance standards and certification for the users
382 and the mask itself. The standards that we've been talking

383 about deal with the users the focus that we'll be looking at
384 here is focusing on the masks.

385 So again, this is our approach. These are the phases
386 that we've already seen in the previous presentation. Most of
387 our work right now is in Phase 2 although this particular
388 session happens to be in Phase I. So we're kind of in
389 transition here. We're going to be obtaining comments at the
390 end and the final report. I'm told by NIOSH that they
391 probably will be looking at our monthly reports and so on to
392 be able to draw some initial conclusions based upon the
393 results that we get. These are the people who have consulted
394 and we want to acknowledge their input into our efforts thus
395 far. That's about it. Maybe it was 25 minutes. That's my
396 presentation and I will be glad to answer any questions if you
397 might have them. Yes, Jay.

398 **JAY PARKER, BULLARD COMPANY:** The device that you are
399 going to use to put over the person's head to measure the air.
400 It would seem to me that might affect the person in any
401 exercises that they're doing. You know that it may provide an
402 additional decrement in the equipment level that they're
403 wearing.

404 **ART JOHNSON:** Well, Jay in that case the objective of our
405 test would be not to necessarily determine what the
406 performance time was, because you're right, if we would put,

407 just the weight of the thing, on their shoulders might provide
408 enough decrement in their performance. What we just want to
409 do, we just want to check the air flows, in this case. So
410 even if the person, we won't have the person on the treadmill
411 until such time as they decide voluntarily to quit we're just
412 going to have them on the treadmill until they reach steady
413 state. In which case then we'll be measuring the air flows
414 and so we're not looking at performance, we're looking at the
415 flow rates.

416 **JAY PARKER:** I have another question too, if that's Okay.
417 The flow measuring device that you mentioned, are you going to
418 put that in-line on the respirator are you going to insert
419 that in the breathing tube.

420 **ART JOHNSON:** The Fleish pneumotach? Well we hadn't
421 planned on using it with the respirator. We planned on using;
422 we have a half-mask that we put on people which doesn't have
423 much resistance. It's not a filtering half-mask. We'll put
424 that in line with that and then the half-mask is only there to
425 collect the air-flow. We'll also put that pneumotach in line
426 with that chamber over the head, in order to be able to
427 measure the flow rate but not used directly with the
428 respirator.

429 **JACQUES FORREST, CENTURION SAFETY PRODUCTS, LTD.:** I'm a
430 little concerned about your over breathed air assessment

431 because certainly in our experience fan air is not directly
432 related to breathable air. There is a considerable influence
433 in the volume and is (in?) certain loose fitting PAPR that is
434 around for the individual to use before the fan actually gets
435 overloaded. I think just sticking a fan on a box, you're not
436 actually testing the right way. If I might be rude, there are
437 other ways of achieving, I think what you're trying to
438 achieve, with a much better emphasis on the mask than you're
439 actually going to get with your proposed test method.

440 **ART JOHNSON:** If you have any better ways, I certainly
441 would like to hear them. But before then, what I want to
442 emphasize, is that we actually have three different ways of
443 measuring the same thing. We're measuring with the SCA, and
444 as a matter of fact, the data that you saw there was with the
445 SCA device. Then we'll be using the over the head chamber and
446 then we'll also be, without any respirator, just this half-
447 mask, as I was talking about just previously. Just having a
448 person on the treadmill, running with the Fleish pneumotach
449 and we'll just be assessing, at that point, we will just be
450 assessing the breathing rate the flow rate that people are
451 breathing with. We'll also know that the flow rate that the
452 fan could supply and so it's a matter of just subtracting the
453 two. Now that's three different ways of doing the same thing.

454 We'll see whether or not any one of those agrees, or if they
455 don't agree. Did you have a different way?

456 **JACQUES FORREST:** Can I ask just another one? Which has
457 a lot of experience on our side of the water and I'm stealing
458 some of my thunder for later on, but I think one of the down
459 sides we have with the current respiratory standard, is that
460 the devices can be tested in pieces and ultimately they ought
461 to be tested as a whole. The only test that I know that that
462 can be done is the total leakage test in the European
463 standards and there they have people wearing the whole device,
464 not just part of the device on treadmills doing exercises and
465 against wind currents and everything. Now okay it's a
466 laboratory-based test, I grant you, but it appears that with a
467 number of volunteers doing that, you get a much better idea of
468 the efficiency of the device than you do by testing just the
469 fan in isolation or the filtering isolation or the head piece
470 in isolation or whatever else is tested in isolation. I do
471 think the one thing that ought to change is that the whole
472 device is tested for its efficiency on people.

473 **ART JOHNSON:** Okay, probably I'll discuss this with you
474 later because I'll be interested in hearing your ideas but I
475 do think that at least with one of these ideas with the over
476 the head chamber, the whole device will be inside that.

477 **JACQUES FORREST:** I think for some of the devices, you'll
478 need a bigger chamber.

479 **ART JOHNSON:** Okay, maybe so.

480 **JAY PARKER,** Bullard: There is another way to measure
481 that and that's by pressure, as in the ORC study. Where we
482 measured the pressure in the respirators and we were looking
483 for negative spikes.

484 **ART JOHNSON:** Were they loose fitting?

485 **JAY PARKER:** Yes, both loose fitting and regular hoods.

486 **ART JOHNSON:** You must have some very, very sensitive
487 pressure transducers then.

488 **JAY PARKER:** Yes, there are some out there that can do
489 that. You have to decide though on the response time that
490 you're looking for.

491 **ART JOHNSON:** I don't see any further questions.

492 **TIMOTHY REHAK:** Thank you Dr. Johnson. Before we go on
493 break, I just want to remind everyone again, all the
494 presentations from the public meeting today will be posted on
495 the NIOSH website and they will also be available from the
496 docket office. Remember the docket number is NIOSH 008 for
497 the PAPR. Just one last thing I want to say to the guest
498 speakers. If you have a PowerPoint presentation that you want
499 to use for your presentation for your talk, please see Bob

500 Stein so he can load it on the computer. We'll take a half-
501 hour break and be back here at 1:35.

502 (BREAK)

503 **TIMOTHY REHAK:** If everyone could be seated, we'll get
504 started again and stay ahead of schedule. Okay I'd like to
505 introduce Kate Mackey, Dr. Johnson's Assistant at the
506 University of Maryland and she'll talk about existing
507 standards for the PAPR.

508 **KATE MACKEY:** Thanks Tim for the introduction. As Tim
509 said, I'm Kate Mackey. I'm with the Biological Resources
510 Engineering Department at the University of Maryland and along
511 with Dr. Johnson and the rest of our team, we were looking at
512 performance and protection issues with the multi-function
513 PAPR. As Dr. Johnson briefly touched on before, we had four
514 major components to the way that we've approached the study
515 thus far. The perceived importance issue was the
516 questionnaire component of our study, which is on going. The
517 user characteristic's portion deals with the personality and
518 human being factors: the issues that might affect their
519 ability to wear a respirator given their personality aspects
520 and further respirator wearability which has to do with the
521 performance testing aspects that he spoke of. The current
522 talk that I'm about to give deals more with the existing
523 standards things that are already out there and things that we

524 haven't necessarily looked at testing. So like I said, we
525 broke it down to performance and protection. Dr. Johnson
526 spoke to you mainly about the performance aspects. These are
527 the things that we did testing in the lab and we have future
528 planned testing to do. The protection aspects are covered in
529 the literature already and so what I'm about to talk to you
530 about is essentially a literature review of existing
531 governmental standards. Specifically, the Code of Federal
532 Regulations along with other standards such as ANSI standards
533 gives the protection guidelines for respiratory protective
534 devices as well as other personal protective devices. The
535 general categories that we looked at for the multi-function
536 PAPR specifically because it has so many components included
537 respiratory protection, vision, hearing, head protection, but
538 then also some human factors aspects and then additionally
539 intrinsic safety aspects because this device could be used in
540 the mining community and just as a general way to go through
541 the presentations so that you can follow along. The way that
542 I'm going to address each of these standards categories is for
543 each one if there's a Code of Federal Regulations that
544 applies, I'm going to cover that first and then if that refers
545 to a standard in particular, I'll cover that along with some
546 of the testing methods that go along with those standards and
547 then finally I'll wrap it up by giving you just a brief

548 listing of the International Standards that might apply, but
549 I'm not going to go into any detail about any of the
550 international ones.

551 So the methodology that we used when we were looking at
552 the existing standards was essentially to do a search to look
553 for applicable domestic and international standards that we
554 might be able to use for the multi-functional PAPR. We
555 identified ones that might be applicable and these were
556 generally for protection categories and then we also tried to
557 look at possibilities for growth and for development of new
558 standards to make recommendations and these would be more
559 performance aspects such as the human factors aspects.

560 So the first group of standards that we're going to look
561 at is the Respiratory Protective Standards and these are
562 covered in 42 CFR 84 which is under NIOSH Respiratory
563 Protective Devices and it covers aspects such as procedures
564 for NIOSH approval; certification for respiratory meeting
565 construction performance and respiratory protective
566 requirements; and finally inspection, examination, and testing
567 methodology. Specifically contained within this CFR, there
568 are a few different aspects. There's actually many different
569 aspects, but a brief sampling of them is listed here and I'll
570 give you some specifics. For breathing tubes, it goes into
571 details such as the tubes should not restrict head movement,

572 they shouldn't kink, they shouldn't affect the user's
573 wearability, so the person should be able to perform their
574 task while they have these tubes if that's a component.
575 Harnesses should be easy to remove and to replace. Facepieces
576 need to be impact and penetration resistant and also not to
577 impede vision. Weight requirement ranges between 16 and
578 18 kilograms depending on whether there is a cooling device
579 associated with the respirator. It also states that head and
580 neck protection should be provided if necessary in that work
581 environment. A specific standard for air velocity and noise
582 levels is also given and that's that inside the respirator it
583 has to be less than 80 decibels of noise which is applicable
584 for PAPRs that have a fan head piece within the helmet such as
585 in the Centurion helmet so that would have to comply with
586 that. The fan couldn't be louder than 80 decibels and then
587 they also require an end of service life indicator that says
588 when the canisters are going need to be changed.
589 Additionally, within that same CFR, there's respiratory
590 protection standards that are for dust, fumes, and mist and it
591 gives minimum requirements for the quality of the breathing
592 air as well as testing procedures.

593 A second CFR that deals directly with the respiratory
594 protective aspects is 29 CFR 1910, subpart I, which is an OSHA
595 document for personal protective equipment and in this

596 document it gives guidelines for respiratory selection, fit
597 testing, and the user seal check. Specifically for the fit
598 testing that's also outlined in a very detailed format in
599 ANSI Z88.10, which I'll go into more detail in a few slides.
600 So the domestic standards for respiratory protection begin
601 with ANSI Z88.2 which gives guidance for proper selection,
602 use, and care of respirators, and also requirements for
603 establishing and regulating respirator programs. So this
604 standard is written primarily for people who would be
605 establishing and regulating the programs such as an employer.

606 A second ANSI standard that would be applicable for a
607 respiratory device such as the ones we are dealing with is
608 ANSI Z88.4 which is for protection against coal mine dust and
609 that also has a reference in it to the Federal register which
610 somebody had brought up that this might not be the correct
611 citation. However, I checked the document and it refers to it
612 as this and but it is easy to find. Essentially it gives
613 doses for coal mine dust so that is available in there. The
614 mine operator responsibility and employer responsibility is
615 also gone into so it looks at respiratory protection from the
616 prospective of both the person who's enforcing it and the
617 person who has a personal vested interest in it.

618 In ANSI Z88.7, color coding of air purifying respirator
619 canisters, cartridges, and filters, this is just more along

620 the lines of a way of rapid identification and consistency
621 among manufacturers that's important so that people know what
622 they're dealing with and they can find things easily and
623 maintain safety.

624 ANSI Z88.10 gets into the fit-testing methods that I
625 spoke about earlier in the CFR and there's a few different
626 methods that they go into and I won't go into too much detail,
627 but they use either a smell test which has to do with banana
628 oil as the chemical agent, taste in which they use either
629 saccharin which is Sweet n' Low a sweet taste or a bitter
630 taste, bittrex, so the person is to don the mask and then
631 determine whether or not they can taste either of these
632 things, and finally (inaudible stannic) chloride is used as an
633 irritating aerosol, is more of a tacital sort of test. This
634 is a brief listing of different European norms and then the
635 Japanese standards that are similar to our standards for
636 respiratory protection.

637 The next category that we're going to look at is the
638 vision protection standards which are covered under
639 29 CFR1910, Part I, which is also the personal protective
640 equipment same as the respiratory one from before. It talks
641 about protection from eye and face hazards which lists
642 including flying particles, molten metal, chemicals, acids,
643 caustic liquids, gases and vapors, radiation, essentially

644 anything that you wouldn't want getting into your eyes. It
645 also talks about side protection from flying objects. It
646 talks about prescription lenses and that they are required to
647 be used in such a way that the facepiece is compatible with
648 prescription lenses. If radiation is an issue, it requires
649 that you shade against that. It also requires that the
650 manufacturer identification be clearly labeled on the device.
651 This particular CFR requires compliance with a specific
652 standard, which is ANSI Z87.1.

653 ANSI Z87.1 provides minimal requirements for eye and face
654 protective devices and then it also gives guidance for
655 selection, use, and maintenance for either the employer or for
656 the person who is going to wear it themselves who selects it.
657 It also gives several very detailed testing procedures that
658 can be followed to assess these devices. Now I'm going to
659 cover about three of the tests just to give you a sampling of
660 the type of tests that you can find in these standards;
661 however, there's many, many more. The first is the high
662 velocity impact test in which low-mass projectiles are fired
663 at a test head form wearing the ocular and they do
664 approximately 20 trials, 10 per each pupil and 1 failure is
665 allowed. So if 1 failure of the eye piece out of 20 is
666 recorded, than that still passes, but if any more than that,
667 then the device would fail. In the high-mass impact test,

668 everything is kind of reversed. Then you have a high-mass
669 projectile with a very low velocity and there's only four
670 trials for that and if any penetration occurs, then the device
671 fails. They also have a flammability resistance test in which
672 a flame is applied to the device repetitively and they check
673 how long they have to apply the flame in order for a
674 maintained flame to occur. Some other tests within this
675 standard that I'm not going to talk about in too much detail
676 are the drop ball impact test, the corrosion resistance for
677 metal parts, penetration tests for plastic lenses and windows,
678 and then there's a whole series of optical tests using optical
679 methods. Of course the list of international standards goes
680 on and on. There's ISO and (inaudible) standards, European
681 norms, one in particular that I wanted to look at because it
682 dealt with fogging of the ocular was in the BSN 168 where they
683 have a method of measuring fogging which includes using the
684 eye device above a hot water bath and measuring the
685 transmittance values and looking for a decrease in
686 transmittance.

687 The third set that we're going to look at is the head
688 protection standards, which are covered in OSHA's Personal
689 Protective Equipment Section of 29 CFR 1910. Primarily this
690 deals with protective helmet requirements for potential
691 injuries from falling objects. And secondarily if this

692 applies to the situation to reduce electrical shock hazards.
693 So if you're in an area that has that that has to also be
694 considered. This CFR also has a specific standard that it
695 refers to, requires compliance with and that's ANSI Z89.1.
696 ANSI Z89.1 requires that any devices that are purchased after
697 July 5, 1994, are complied with in the Z89.1. It covers the
698 types and classes, materials, and physical requirements of the
699 device, performance requirements and then also another set of
700 very detailed testing methods, which we'll cover some of.

701 The first is the first transmission test in which several
702 helmets are tested. They are preconditioned hot and cold.
703 They are put on a head form and they're subjected to an impact
704 test and the force transmission values are recorded based on
705 the pressure transducer inside the head form. Just to give
706 you some numbers to get kind of a ballpark the average force
707 that's transmitted through the head form should be 3780
708 newtons. However, no individual trial can exceed 4450
709 newtons, so there's a little bit of a range. In the Apex
710 penetration test it's a similar sort of test, but instead of
711 looking at force transmission to the head they're looking for
712 actual penetration of an object through the helmet. In this
713 case, any sort of penetration would indicate failure of
714 device. This is similar, the off center penetration test,
715 rather than looking at the Apex of the head which is directly

716 on the top you would look below the dynamic testing line which
717 would be off to the side which would simulate a side impact.
718 Other tests covered in this standard include impact energy
719 attenuation, a flammability test that's similar to the one for
720 the eye pieces, chin strap retention requirements, and then
721 again if it's necessary electrical insulation requirements.
722 These are some of the international standards that cover
723 similar items. The last physical component that we're looking
724 at is the hearing protection standards which are covered in
725 OSHA's occupational health and environmental control 29 CFR
726 1910, Subpart G. In this case noise exposure is computed
727 based on an 8-hour time weighted average. That is a
728 computational method based on criterion level, which is a
729 decibel level that is selected based on OSHA's requirements.
730 A criterion duration and exchange rate, which is a rate at
731 which whether the decibel increases or decreases by that
732 amount. The time that you could be exposed to it would be
733 either doubled or halved depending on whether it was
734 increasing or decreasing and then the threshold level. It
735 also goes into methods for measuring the adequacy of hearing
736 protection attenuation. The final thing that it addresses is
737 the noise reduction ratio method that's given by the EPA.

738 The domestic standards that deal with methods for
739 measuring hearing attenuation are given by ANSI Standards

740 S12.6, S12.19 and S12.42. And these are just different
741 methods that you can test the attenuation of noise levels by
742 hearing protection devices. Similarly, there are several
743 international standards that give very similar tests. After
744 we looked at the difference physical characteristics we also
745 wanted to look into some of the human factors aspects.
746 Dr. Johnson talked mostly about the performance aspects and
747 this kind of goes more along the lines of what he's doing.
748 The only human factor standard we put into this group because
749 we felt that it was important was one that's based on
750 communication. In ANSI S3.2 it measures the intelligibility
751 of speech over communication systems. This standard is not
752 meant for respirators or for hearing devices necessarily
753 per se. It's meant for a very specific group of people in
754 which you have a speaker and a listener. There are lists of
755 words and they speak back and forth and try to see how well
756 they can identify what each other is saying, and perhaps in
757 terms of evaluating the ability of a person to communicate
758 while wearing a device such as a multi-function PAPR. A
759 standard such as this one or similar to one might be employed.

760 The final category of standards that we looked at was the
761 intrinsic safety aspects. This is particularly in methane
762 rich environments in mines this would be applicable. These
763 standards are covered in 30 CFR 18.68. There's a whole series

764 of tests that are outlined, they're very specific for the
765 circuitry components. There has to be a lot back up within
766 the circuit. There has to be stability against shock and
767 vibration. The circuitry sizes, the capacitance elements and
768 everything have to be large enough that they deal with amount
769 of power that's being supplied. They're tested at 1500 volts.
770 With this series of tests the circuit is considered
771 intrinsically safe if no ignitions occur during testing. So
772 as they apply these voltages over the circuitry parts if
773 there's no spark that causes an ignition then the circuit is
774 considered intrinsically safe. In summary, Dr. Johnson's
775 aspects that he discussed with our lab testing dealt with
776 performance. They deal very much with the user issues. How
777 the different aspects of the user such as personality and
778 human factors go into determining how well they can use the
779 respirator and how much it impacts their ability to perform.
780 Protection certification can be done with existing standards
781 because they're already out there. They focus much more on
782 the mask and less on the user. I have the same consultations
783 and acknowledgments as Dr. Johnson. We'd like to thank NIOSH
784 very much for all of their continued help and support along
785 with MSHA for giving us a lot of feedback on the presentation
786 specifically. Are there any questions?

787 **TIMOTHY REHAK:** Next we'll move to the outside speakers.
788 First we have Jacques Forrest. He's the Technical Director of
789 Centurion Safety Products a firm which has been manufacturing
790 personal protective equipment for over 124 years, Dr. Forrest.

791 **JACQUES FORREST, CENTURION SAFETY PRODUCTS, LTD.:** Good
792 afternoon, I took old technology and decided to put my
793 presentation on overheads. Perhaps as you all got a copy you
794 can read through it with me. As you just heard Centurion
795 Safety Products is a UK-based manufacturer of personal
796 protective equipment offering head, face, hearing, and
797 respiratory protection to wearers. It's been manufacturing
798 this sort of equipment for 124 years and it's selling its
799 products worldwide. It is pleased to be offered the
800 opportunity to submit both verbal and written comments to the
801 meeting which forms part of the research NIOSH is conducting
802 to enable it to review and modify applicable standards for the
803 above products. Centurion Safety Products has a design
804 philosophy that provides products against known expected risks
805 in the industrial workplace. This philosophy provides the
806 correct equipment for the correct hazard. Highly visible in
807 the design process, is the consideration for the wearer's
808 comfort and the wearer's acceptance of the equipment.
809 Centurion Safety Products has a testing philosophy that
810 respiratory protection is so vital to the wearer that the

811 effectiveness of the complete ensemble hoods, filters, seals,
812 etc. should be testing together. And thereby can provide more
813 consistent respiratory protection than testing the various
814 parts in isolation. These two philosophies have enabled
815 Centurion Products to provide equipment worldwide but offer
816 exceptional respiratory protection at an affordable price to
817 the purchaser that does not alienate the wearer.

818 Centurion Safety believes that NIOSH has the power to
819 issue temporary license mandates to recognize the
820 acceptability of respiratory products approved by other
821 respected recognized approval bodies. The benefit of this
822 would be to enable and specify a wearer quicker and easier
823 access to a wider, more acceptable, comfortable and user
824 friendly range of respiratory products. However Centurion
825 Safety believes that NIOSH has not at this time been presented
826 with a significantly persuasive argument to utilize these
827 powers. Centurion believes that there are pressing markets
828 needs that warrant the issue of relevant temporary license
829 mandates for certain respiratory products. With the
830 completion of the revision and republication of 42 CFR Part
831 84, these temporary license mandates could be withdrawn if the
832 products did not comply with the revised legislation.

833 In mind of the above-proposed radical approach Centurion
834 Safety would like to submit that the following points be taken

835 into consideration when revising the testing and in use
836 performance requirements. The revision of 42 CFR Part 84
837 should not seek to provide respiratory performance
838 requirements that will take years to deliver. It therefore
839 submits that the revision should be such that they allow
840 authorization approval of equipment, which exists now, and
841 provide real benefits to the wearers now. That because no one
842 respiratory protection device offers the luxury of being
843 capable of protecting against all risks that the standards are
844 modified to allow devices to be approved against specific
845 risks. I won't read out why that statement should be
846 supported. The negative pressure devices, PAPRs, and SCBA
847 devices should be approved to different performance
848 requirements in different sections of the CFR. There are
849 currently no recognized standards for such things as
850 communications or wearability. Therefore, NIOSH should not
851 allow the development of these to slow down the revision of
852 the respiratory requirements of 42 CFR. And rather than delay
853 publication of revised respiratory performance requirements
854 that these are revised soonest to allow acceptable respiratory
855 products into the market. NIOSH should restrict its
856 performance requirements to those of respiratory protection.
857 They are already in existence where respected performance
858 standards both North American based and elsewhere in the world

859 for vision, hearing, and head protection. These should be
860 cited in applicable regulations and policies rather than
861 NIOSH's own requirements being written. NIOSH should
862 constrain itself to areas where there are currently no
863 respiratory standards or unacceptable standards exist. That
864 NIOSH accept third-party approvals for eye, head, and hearing
865 protection to enable good PAPRs to be approved. That NIOSH
866 consider third-party approvals for respiratory protection
867 where products can be proven to meet an efficiency standard
868 for the protection needed for that particular application.
869 That NIOSH consider classify PAPRs by the level of protection
870 offered, considering their suitability for purpose. That
871 NIOSH considers implementing a mechanism whereby 42 CFR Part
872 84 is regularly updated. Updating of the rules and or test
873 methods to keep abreast of technology would enable inclusion
874 of better and modern technology as and when it is developed.
875 That NIOSH facilitates the introduction of revised standards
876 by utilizing test methods for determining performance
877 efficiency that are proven and recognized in published
878 standards originating from third parties. That rather than
879 testing the discrete components, e.g., filter, face, etc. 42
880 CFR Part 84 could greatly benefit performance requirements but
881 based on complete equipment testing. This could involve
882 utilizing panels of real people with a nontoxic test aerosol.

883 I thought of one other on the plane over, just to give you the
884 benefits of my deliberations; I think there needs to be an
885 ongoing performance-testing requirement written into the
886 regulations. The regulations should not just consist of a
887 type approval. There should be ongoing product surveillance.
888 Notwithstanding the above, Centurion Products submits
889 comments to be incorporated into the revision of 42 CFR Part
890 84, 1995, with respect to particulate filtering respirators
891 only. The attached proposed changes to 42 CFR Part 84, which
892 if adopted with reasonable speed would enable a more
893 comprehensive and effective range of acceptable respiratory
894 devices to be available for selection by the appropriate
895 specified end users.

896 Centurion Safety Products has proposals to make only on
897 the following clauses, 1100d the scope, 1142 isoamyl tightness
898 test, 1151 DOP filter test, and 1152 silica dust loading test.
899 Because I'm only limited to 15 minutes I do not intend to take
900 you all through the relevant support for this, but in subpart
901 1100d, the scope, we suggest that the PAPR respirators should
902 be categorized into three levels based on the filter
903 categorization. The current categorization in 84.1151 would
904 suffice. For the 1142 the isoamyl acetate tightness test, we
905 suggest that this test be replaced with quantitative test
906 using the filters that are intended for use with the power

907 unit. This test might, for example, be similar to the tests
908 employed EN 146 or EN 12941 or 12942 with limits based on the
909 filter classification as per 84.1151. 1151: we suggest that
910 filter classifications and test methods used for negative
911 pressure filters N, R and P 95, 99, and 100 (Clause 84.179)
912 are adopted for powered respirator filters with appropriately
913 adjusted flow rates. Clause 1152: we suggest that either the
914 minimum flow rate requirements for a loose fitting hood is
915 dropped completely or reduced to 120 liters per minute. The
916 total inward leakage test as described in Item 2 above would
917 be conducted at the manufacturers declared minimum design flow
918 rate thus demonstrating that the air flow is sufficient and
919 the product effective. And we have a minor comment, which is
920 editorial, there is a Table 12 but no reference is made to
921 that table anywhere in the text. Thank you very much. Are
922 there any questions? You're not dumbfounded surely.

923 **TIMOTHY REHAK:** Thank you Dr. Forrest. Next we'll have
924 Göran Berndtsson. He's the CEO of SEA Group.

925 **GÖRAN BERNDTSSON, SEA GROUP:** Good afternoon and thank
926 you very much for allowing me to come here and talk. I
927 suppose I have a slightly different view than the previous
928 speaker. I think before we start writing standards we have to
929 understand what we are going to write these standards for and
930 what the requirements of people are who need to wear these

931 respirators. A lot of you people have seen me before and you
932 know now he's going to talk about peak inhalation and you are
933 absolutely correct. However, I'm going to deal with it from a
934 little different angle this time. What's happened over the
935 last year or so we have been looking at using negative
936 pressure respirators. That's what we're going to talk about
937 because there were a lot of comments on the data and some of
938 the information provided in the power unit. Let's have a look
939 at the negative pressure respirator under similar conditions
940 and see what the requirements are. So that's the data we're
941 going to look at now.

942 The emphasis has been on negative pressure respirators
943 and presented respiratory tests (inaudible). Nor can we be
944 confident or certain the (inaudible) actually offers the
945 protection the users expect and should be entitled to get from
946 the product that is certified and deemed to comply with NIOSH.
947 That's the situation we're all in today. We're testing to
948 standards but we can't really ensure that the users are well
949 protected even when respirators due meet the standards. What
950 we did was design a study and simulated different work rates
951 using an alga mated test bike. The advantage for that was
952 that we could program it with a computer. We set it at
953 different workloads. The equipment we were using was 5
954 negative pressure respirators and a positive pressure demand

955 PAPR. The pressure drop on those respirators, as we all know
956 we around the world are checking pressure drop on exhalation
957 and inhalation at either 85 or 95 liter constant flow. This
958 graph shows a little bit of what's happening when we start
959 flowing more air through those respirators. This is all
960 measured in the millibars and you have flow rate in liters per
961 minute.

962 That is exhalation on that side, inhalation on that side,
963 and here is where we are actually testing respirator devices
964 for pressure drop. And as you can see it is an enormous
965 increase in exhalation as well as inhalation resistance as you
966 start flowing more air through these devices. This is very,
967 very important physiologically from the user's point of view.
968 The test group we were using was 10 people, 8 males and 2
969 females. The age spread was between 17 and 54 years and their
970 weight was between 61 and 96, and height between 169 and 193
971 that all in centimeters and kilos. You'll have to excuse me I
972 haven't put it into pounds and inches but this is an
973 international society so you will all understand. I feel that
974 is a fairly representative group of our society out there.
975 The test was divided into eight sections, each 5 minutes.
976 Within that first 5 minutes we started at 50 watts external
977 work load, peddling on a bike at about 80 reps. After 5
978 minutes we increased 25 watts and we continued to do that

979 until we reached 225 watts output or 85% of your (inaudible)
980 on your heart rate or if someone got dizzy or for any other
981 reason did not want to continue, could not continue any
982 longer. I apologize for having so much text in here. With
983 each section the first 3 minutes we only peddled the bike, no
984 talking, no other interference just peddle the bike.

985 The fourth minute we were reading the (inaudible)
986 sentence constantly for 1 minute. Most of the test subjects
987 managed to read that number of sentences twice during that
988 period of time. They were reading constantly as if we were
989 talking. The fifth minute between four and five we let them
990 recover that way catching up on your oxygen debit. Then we
991 increased it by 25 watts external, let them peddle again for 3
992 minutes, and did the same thing again. The (inaudible) volume
993 and peak inhalation was large. The average minutes/volume
994 started at 22.2 liters and up to 150 watts. The data I'm
995 presenting only goes to 150 watts, the reason I stopped there
996 I'll tell you in a minute. Up to 150 watts was 61.57 liters
997 this is minutes liters that's the volume of air you're
998 breathing doing those kinds of tasks. The peak inhalation air
999 flow on average in the third minute which was the first
1000 (inaudible) was 99.49 liters peak inhalation air flow. That's
1001 the flow the velocity the air is going through the system.
1002 And the peak inhalation air flows up 150 watts that's 268

1003 liters, with a max of 533. Sorry the previous one was for the
1004 fourth minute (inaudible) the lowest we measured. There was
1005 the first talking prelude within (inaudible). Because what's
1006 happening is that when you start talking you are actually
1007 substituting inhalation for speeches so it drops. The volume
1008 of air dropped about 16%. Between 26 to 39 liters was the
1009 first of the third minutes, the 22.2 liter was the fourth
1010 minute, and then when you come to the fifth minutes it goes up
1011 to about 30 liters to catch up on the oxygen deficiency
1012 created. For anyone who is interested I have all this data
1013 available, I just a business card and I will send it to you.

1014 So what happened of course between non-talking and
1015 talking in the first 50 watts is that we had an increase on
1016 peak inhalation air flow at 75%. We had a decrease of 15% or
1017 16% in volume of air but we had a peak inhalation air flow
1018 increase of 75%. Then as I said in the fifth minute we didn't
1019 talk any longer we actually measured the blood oxygen level
1020 and of course what's happening when you are substituting
1021 inhalation for talking your blood oxygen level drops and on 50
1022 watts you won't see that much in dropping. But when you saw
1023 it coming up to 150-175 watts you're dropping down to about
1024 92%, 93% saturation of oxygen in your blood.

1025 This is a graph it looks quite a lot of course. But I
1026 thought I would show you how it looks if you put it all

1027 together on one graph. This is 25 minutes and what you're
1028 seeing here this is just the first about 5 minutes here. This
1029 is actually the first talking part, second talking part, third
1030 talking part, fourth and so on. And what you find if you are
1031 scrolling down and looking on half a minute or minute at a
1032 time is that you find that the minute after talking you will
1033 have about the first 30 seconds you will have a slowing of
1034 your breaths then you're stabilizing. Then as you're stepping
1035 up 25 watts you will have a minute to start catching up and
1036 then eventually stabilize. We come to the third minute you
1037 have a fairly stable heart rate as well as breathing rate.
1038 What are we getting out of this? We had 63 test data sets as
1039 we had 10 people, 6 respirators; a couple of the guys did the
1040 test more than once. We had an average minute liter of 26.39,
1041 average peak inhalation air flow of 99 just under 100 liters.
1042 A max air flow in that third minute of 252 liters and we had a
1043 max air flow of speaking of 402 liters.

1044 The question is, is this representative for first
1045 responders? If we go back to another ISO standard, which is
1046 about heat stress, actually matches heat stress and they
1047 describe 50, and what you can do with the heat stresses is
1048 that you can actually read physiology books you find that the
1049 normal case your external work is about 20% of your heat
1050 production. Some difference is actually when you jump on a

1051 bike, a stationary bike you can actually get up to about 25%
1052 efficiency. What I have used here is that using 25% so you're
1053 using the heat stress where you are actually very well
1054 documented way of working out how much heat is produced by
1055 burning so much oxygen, etc. Then you can put that back into
1056 work rate on a bike. Then we can put some text in to what
1057 this means. Because 50 watts if ask any one of you go out and
1058 work at 50 watts what would that be. What is that? So what
1059 this ISO standard is saying is that consider these light
1060 manual work: biking, typing, drawing, sawing, bookkeeping,
1061 hand and arm work, small bench, tool inspections, arm and leg
1062 work, stamping, and if you're walking with about a speed of 3
1063 ½ kilometers or 2.2 miles. Is that what a first responder
1064 does? Is that what a worker is doing out wearing respirators?
1065 I think it is not, I think that's far too low a work rate.

1066 Let's have a look at what I believe is more
1067 representative. As a side issue I don't if you know but there
1068 is quite a bit of work going on around the world where we're
1069 trying to write an international standard for respiratory
1070 protection. There are a lot of countries involved and we are
1071 actually trying to use this as guidance for the new
1072 classifications on the ISO standard. The highest work rate
1073 actually has a metabolic rate of 520 watts that would
1074 represent about 150 external. Of course when you say 520

1075 watts I can go back to, it is actually measured on the person
1076 an average weight which is a person of about (inaudible)
1077 meters high, so 177 centimeters. This is actually true to
1078 standard back to square (inaudible) body surface because
1079 that's how it's calculated. It all depends on big we are on
1080 where we're ending up (inaudible). But it is very, very good
1081 tools in this standard to work out. So 150 watts was probably
1082 more close to what a first responder would be doing. We're
1083 talking about intense shoveling; digging; climbing stairs,
1084 ramps, ladders; or walking at a speed greater than 7
1085 kilometers or greater than 4.4 miles. That would be more
1086 applicable to the type of work we would have seen our first
1087 responders acting on, the World Trade Center for example.

1088 So, what numbers are we looking on then? At that level
1089 we have an average volume of 57 liters when we didn't talk, 50
1090 minute liters when we talked and recovery was around 53. We
1091 had peak inhalation air flows of 176 non-talking, 268 when
1092 talking and 217; that was actually consolidated bottom line is
1093 consolidated so you put the two together. And of course the
1094 max here 533 liters. Then of course the question is always
1095 going to be how many breaths are we going to have or breathe
1096 that much. You look in the right column there I actually
1097 looked on when I was looking on this data you can actually see
1098 how much of this air actually travels faster than the way

1099 we're testing it today. Faster than 85 liters we actually
1100 with no speaking we have 92% or 52.9 liters of the 57 we were
1101 breathing flows faster than how we are testing it. It's not
1102 just one spike now and then it is constantly all the time.
1103 Because what's happening is the acceleration of the air is too
1104 fast you go up and your breathing goes like this and then you
1105 breathe out again. And when you're speaking it's even higher
1106 96 nearly 97%. What percentage do we know how many of the
1107 population do we want to protect how many do we want to be in
1108 positive pressure? Do we want to have 95 percentile in
1109 positive pressure? Do we want to have 50 percentile? I'm not
1110 here to tell you what, but very often we look at the 95
1111 percentile, am I correct saying that. So 367 liters is what
1112 we need to supply to keep positive pressure to 95 percentile.

1113 We also did a similar test, which we presented data about
1114 6 months ago. They did and an agility test. Everyone
1115 familiar with an agility, it is a firefighter's entry level
1116 test to be a firefighter. The firefighters in this country
1117 reckon it is very typical work with firefighters. So we had a
1118 group of 47 marines who did such a test not far away from
1119 where we are today sometime in September/October. And when we
1120 did this testing at 95 percentile, that group actually
1121 required 427 liters of air. (inaudible) air flow rates
1122 (inaudible) exercises this concurs with the earlier findings

1123 by the others and me as well as Dr. Kauffman in the study he
1124 did on the Marine's. He did that on M40 masks and Professor
1125 (inaudible) who did a verification of the data by an
1126 independent test financed by the Swedish government. The
1127 conclusion is the work which best represents the first
1128 responder typical work, 150 watts external, (inaudible) peak
1129 inhalation air flows all in excess of typical test flows.
1130 Raising the question, how well will the first responders be
1131 protected if we don't test the typical flow rate for this type
1132 of work? 150 watts external work a full 90% of the inhalation
1133 sequences is made up. In other words 90% of these workers
1134 flow faster than 150 liters. That is what we're testing
1135 PAPR's on today.

1136 In order to maintain positive pressure, for 95% of the
1137 first responders we need to have a flow rate of 427 liters.
1138 So based on this data collected and presented by Mr. Kauffman,
1139 Mr. (inaudible), as well as what is published in modern text
1140 books in physiology and sports physiology I propose the
1141 following recommendation for the new standard for OPD's. To
1142 maintain the level of protection required when exposed to
1143 (inaudible) typically classified (inaudible), we need to
1144 maintain a positive pressure to 427 liters. The (inaudible)
1145 should have an alarm to warn the use of positive pressure can
1146 no longer be maintained during a substantial portion of the

1147 generation cycle. In other words it will tell you when
1148 filters get clogged, battery water falls to too low, and the
1149 work rate is too high. If we have that we don't have to argue
1150 what is the correct effort. Questions?

1151 **JAY PARKER, BULLARD:** I'm sitting here listening to your
1152 paper, which is presented like a scientific paper. However,
1153 you did not give any details on the methods of how you
1154 measured your airflow. You presented airflow data with up to
1155 five significant figures. So my first question is, how is the
1156 airflow measured, how is it calibrated, how frequently is it
1157 calibrated, and what other methods have you compared your air
1158 flow measurements to to validate those measurements?

1159 **GÖRAN BERNDTSSON:** First of all we are limited to 15
1160 minutes so I chose not to put it in my verbal speech. It is
1161 all covered in the paper, which will be available in the next
1162 few days at the docket office. Alternatively, give me a
1163 business card and I will e-mail it direct to you. However,
1164 having said that I'm going to give you a brief answer to your
1165 question. We have been doing this for many, many years.
1166 We're using a pressure drop over a known resistance. It is
1167 calibrated against a number of different flow meters. Because
1168 we have such a spread on the flow of measuring from a very low
1169 flow all the way up to 600 we are not accurate on all the
1170 flows that are calibrated. So we're focusing around the 200

1171 liters and in the paper it tells you what the percentage of
1172 losses or inaccuracy on both sides of those. Of course we are
1173 a certified lab certified at NIOSH standard, a European
1174 standard, and Australian standard. We are under the same
1175 quality control system as any other lab. We have calibrated
1176 (inaudible) calibrated machines and flow meters and the
1177 instruments which all this is verified against. I don't know
1178 if this answers your question or not. Any other questions?
1179 Thank you very much.

1180 **TIMOTHY REHAK:** Next I'd like to call on Mackey Shinomiya
1181 with KOKEN. I hope I got the pronunciation right.

1182 **MACKEY SHINOMIYA, KOKEN, LTD., JAPAN:** (Note: The
1183 following is a summary of Mr. Shinomiya's presentation taken
1184 from both his verbal presentation and his paper copy Proposal
1185 of Incorporation of a New PAPR in New NIOSH Standards.)

1186 We specialize in occupational health protector. Of our
1187 (inaudible) respiration and is a (inaudible) condition of test
1188 for (inaudible) respiratory protection provided by a new type
1189 of PAPR. PAPRs are good respiratory protection devices, which
1190 provide a high respiratory protection and low inhalation
1191 resistance. (inaudible) matter is part 42 CFR, Part 84,
1192 Subpart KK requires PAPRs to supply the wearer with a
1193 continuous, high airflow, of not less than 115 liters per
1194 minute for tight fitting facepiece and not less than 170

1195 liters per minute for loose-fitting hood or helmet. This
1196 requirement will be to ensure protection even on heavy
1197 workload. However, this requirement makes PAPRs have a weak
1198 point. Such a PAPR which always provides a continuous, high
1199 airflow have weak points of causing an increase of exhalation
1200 resistance and a quickly clogging of filter. If those weak
1201 points are eliminated from PAPRs, it should further enhance
1202 practical use of PAPRs and users' merit.

1203 By taking account of this, our company recently developed
1204 a new type of PAPR assembled with tight-fitting facepiece.
1205 This PAPR is equipped with a new type of blower, which
1206 controls the rotation of the blower fan according to the
1207 wearer's breathing. This PAPR, adjusting to the wearer's
1208 breathing in practical range, provides airflow necessary to
1209 ensure a positive pressure inside the facepiece, thereby,
1210 eliminates the necessity of continuous high airflow. It
1211 reduces the wearer's physiological burden and also gives the
1212 respirator users a cost merit.

1213 Essential purpose of PAPRs' air supply would be to ensure
1214 a positive pressure inside the facepieces or hoods or helmets
1215 on the wearers' inhaling. Providing a continuous high airflow
1216 even on the wearer' exhaling merely quickens consumption of
1217 filter. A PAPR which provides airflow necessary to ensure a
1218 positive pressure inside the facepiece on the wearers inhaling

1219 and restrains airflow on the wearer's exhaling is already
1220 available. It would be no longer reasonable to specify PAPRs'
1221 airflow only by a continuous high airflow. It would be
1222 reasonable to replace present continuous high airflow test by
1223 a pulsated airflow test that is determined by taking account
1224 of human breathing. As a study material of the New NIOSH
1225 Standards of PAPRs, we therefore suggest NIOSH to incorporate
1226 in New Standards such a PAPR which provides airflow following
1227 the wearer's breathing.

1228 In our test, this new type of PAPR always maintains a
1229 positive inside pressure at an airflow that is approximately 2
1230 to 3 times the actual breathing airflow, and this airflow is
1231 30 - 70% the airflow provided by present PAPR. Figure 1 shows
1232 a simple schematic diagram of this new type of PAPR and Figure
1233 1 through 6 show examples of data obtained in a test of this
1234 new type of PAPR.

1235 Thank you very much for your attention. For future
1236 information, please contact our e-mail address. Thank you.

1237 **TIMOTHY REHAK:** Okay thank you. Next I'd like to call on
1238 Mr. Joseph A. LaMonica, Consultant for BCOA.

1239 **JOSEPH A. LAMONICA, BCOA:** Thank you. Thanks for the
1240 opportunity to make a few comments for consideration as you go
1241 about the task of writing new standards for PAPRs. My
1242 comments are limited to PAPRs used in coal mining. Since the

1243 1969 coal act we've been dealing with the issue of protecting
1244 our nation's coal miners from exposure to respirable coal mine
1245 dust. The primary means of doing this has been through
1246 engineering controls, primarily ventilation. There are times,
1247 however, when these engineering controls do not protect miners
1248 adequately. During these times the use of approved filter
1249 respirators was adequate but not comfortable for the miner.
1250 The introduction of a PAPR device, which was designed for
1251 mining, eliminated some the problems filter devices caused.
1252 So we had something that we could use during those times that
1253 engineering controls were not adequate. Some of the features
1254 of these PAPRs led to miners wearing the devices full time for
1255 a full shift at the face area where the coal is mined. The
1256 government showed that these devices did provide improved
1257 protection for the miner.

1258 Several years ago a change was made to the regulation
1259 that required the filters used in these PAPRs to be high-
1260 efficiency particulate air filter (HEPA). Unfortunately, we
1261 in the mining community were asleep at the switch so-to-speak,
1262 because we were not aware of this change being made. As a
1263 result of this change, a device that was getting the job done
1264 could no longer serve that purpose. In making something
1265 better by improving the efficiency we made it worse in its
1266 performance, a performance that was already adequate. To

1267 accommodate the HEPA filter, we had to increase the airflow
1268 that required us to enlarge the air mover that consumed more
1269 energy that required a larger battery. Bottom line, more
1270 weight for the miner to carry. MSHA has proposed a dust
1271 regulation that will require NIOSH approval for not only the
1272 filter but also the PAPR itself. What we must be careful of,
1273 and the reason for my comment, is that we do not create a
1274 situation where the PAPRs can not be used or be used in a
1275 noncompliance mode. For example, the manufacturer of a PAPR
1276 is trying to obtain approval and maximize the product's
1277 ability to protect the wearer. One such device uses a neck
1278 shroud for this purpose. However, the miner is not
1279 comfortable using this shroud and removes it. Under MSHA's
1280 proposed rule this would be a violation and the operator would
1281 be cited, as he should be. But what if the shroud only
1282 provides added protection above what is already adequate
1283 without it. We need to be careful that we can have approved
1284 devices that provide the protection needed and are comfortable
1285 enough for the miner to wear. My second comment is related to
1286 the first and that is the issue of NIOSH regulation requiring
1287 the HEPA filter. That regulation does not have a provision
1288 that would allow a user to petition the agency for a variance
1289 if the case in point could be made or demonstrated that there
1290 would be adequate protection for a given situation. The

1291 agency might want to consider this type of provision in future
1292 regulations.

1293 My last point deals with a process of a direct final
1294 rule. To promulgate a regulation takes a long, long time. I
1295 think we have the research timelined out for 2 years or so.
1296 If you're really good you can get a regulation out in 18
1297 months. So we're talking 3 ½, 4 years from now to have a
1298 regulation. The use of PAPRs in mining has an immediate need
1299 now. We're talking about a device that provides better head
1300 and neck protection, face protection, hearing protection, pre-
1301 HEPA filter respirable coal dust protection, and
1302 communications ability. I would suggest that if the mining
1303 community is in agreement to a pre-HEPA filter device that
1304 would only apply to mining, the agency consider a limited
1305 direct final rule that could be in place much sooner mesh with
1306 the MSHA proposed dust rule and be in place only until NIOSH
1307 publishes a new PAPR rule. Thank you. Any questions?

1308 **GAVIN BURGE, ADVANCIA, DC:** I'm not affiliated with the
1309 mine but we do have a contract with the Federal Aviation
1310 Administration. Your comments, I think, were important and
1311 should be noted for the record across the board. And just for
1312 my information, maybe some other people perhaps in here don't
1313 know as much as you do about the subject. Could you please
1314 expand upon the requirement for the HEPA filter in the mines?

1315 Why they are required and maybe some information with us also
1316 that aren't as knowledgeable as you are about the actual use
1317 of respirators in the mines when they do provide a, when
1318 they're heavier they may be uncomfortable and miners may not
1319 wear them as much. Could you comment on the actual use of the
1320 respirators in the workplace and how long over a work shift,
1321 for example, are they actually used? When people may not be
1322 under the direct supervision of the supervisor all the time.

1323 **JOSEPH A. LAMONICA:** I'll defer your first question to
1324 NIOSH to answer in terms of why they require a HEPA filter
1325 other than it's more efficient and a better type of filter.
1326 It doesn't apply to our particular situation so I'll let them
1327 answer that question. Respirators in coal mines are only used
1328 in the event that there is a exceeding of the dust standard
1329 and only during the period of time that the condition or
1330 practice is abated. So it has very limited use.
1331 Unfortunately, PAPRs are considered respirators. It's a
1332 different animal. The old days of wearing a respirator in the
1333 mine, those of us that used to chew tobacco, they were a
1334 nuisance. You had to take the thing off and spit. They were
1335 uncomfortable, resistance to breathing, a lot of things were
1336 wrong with them. The PAPRs have sort of made some of those
1337 things go away. But if you look at it as a system, better
1338 eye/face protection, hearing protection, we can come up with

1339 some communication systems that allow miners to talk to one
1340 another over distances, provides a safety factor in a mining
1341 situation. So an abbreviated answer to your multiple
1342 question.

1343 **JACQUES W. FORREST:** Can I just add something Joe partly
1344 in response and partly not in response. Just two points, I
1345 almost put the phrase horses for courses in my presentation.
1346 I was advised by my American colleagues not to do it. But I
1347 think there is a definite need for horses for courses. There
1348 is absolutely no way a PAPR designed for a miner is going to
1349 help a first responder. And although Gören and I appear at
1350 logger heads there are some issues that I can absolutely agree
1351 with them on and other issues I don't think are necessarily
1352 pertinent to miners. When was the last time you saw a miner
1353 riding a bike reading out loud? They're doing a different
1354 job, completely different job. That's one issue. The second
1355 issue is that there are filter medium around that are very
1356 good for certain contaminates and unfortunately the current
1357 regulations don't allow the usage of some of those materials
1358 where they would be highly effective. There are very, very
1359 effective materials for taking out coal and silica dust which
1360 don't have to be high efficiency filters. Therefore don't
1361 require the bigger motors, don't require the bigger batteries,
1362 don't cost as much. This is exactly what I think miners are

1363 looking for. Katie made a very interesting point when she
1364 said that the requirements, and I forget which one, are that
1365 they have to weigh between 16 and 18 kilograms. There is a
1366 device out there for miners that weighs 700 grams that offers
1367 head, eye, face, hearing and respiratory protection. Sorry
1368 it's not approved.

1369 **GÖREN BERNDTSSON, SEA:** I think I mentioned briefly that
1370 we are writing a new ISO standard. Of course we don't know if
1371 that's ever going to be adopted in the United States. But we
1372 are however writing a new ISO standard. That's going to be
1373 entirely based on performance. The performance requirement is
1374 going to be entirely based on physical requirement for people.
1375 The primary testing is going to be respiratory protection.
1376 The secondary testing will be things like hearing, eye
1377 protection, and helmets. I think my point here today is you
1378 said that the PAPRs in mining industry give you a lot of other
1379 things but they aren't respirators. Of course the thing here
1380 is physiology regardless if you work a lot and I thought I'd
1381 proven that, showed that with the data without working hard
1382 you still get these kinds of peak flows, large percentage of
1383 your inhalation breath at the time you are actually holding.
1384 Of course if you are not providing enough air then of course
1385 you are going to be sucking it from somewhere else, that's
1386 around the edges of these loose-fitting devices. And then as

1387 I spent a long time of my professional life in Australia, we
1388 have a lot of mining and smelting industries down there. It's
1389 very common that loose-fitting PAPRs are used in the lead
1390 smelting industry up until the body level goes up do they have
1391 to put the negative pressure respirators just to bring it down
1392 then they put it back into the helmet so it's easier to wear
1393 and more comfortable to wear and the body level goes up
1394 (inaudible) negative pressure goes down again and that is an
1395 ongoing cycle. Does it tell us anything? It should.

1396 **JOSEPH A. LAMONICA:** The only comment I would make, you
1397 and I are probably worlds apart here in terms of where you're
1398 coming from, where I'm coming from. As I said up front our
1399 primary means of protection is through engineering controls,
1400 ventilation. That's our primary. This is something that when
1401 we get in trouble because we're over our 2 milligram per cubic
1402 meter dust standard and we may be at 2.5. Until we get that
1403 resolved we need a device to use. So we're topping it off
1404 with a supplemental device. It can not be a primary device
1405 for a multitude of reasons. So again I don't know that I'm
1406 responding to your comments. Any other questions? Thank you.

1407 **TIMOTHY REHAK:** Our last outside speaker is Mr. Joseph
1408 Main. He's Administrator, Department of Occupational Health
1409 and Safety, with United Mine Workers of America. Joe.

1410 **JOSEPH MAIN:** Thank you very much. I appreciate the
1411 opportunity to be here this afternoon to learn a lot. Trying
1412 to figure out what's going on with respirator approval process
1413 and what that means to the workers that I represent. I
1414 represent coal miners; a group of workers that have worked in
1415 dust conditions most of their life. And we spent most of our
1416 lives, those that are the health and safety professionals,
1417 trying to get those dust levels as low as we can so we don't
1418 need respirators. Interesting conversations here today. I'm
1419 probably going to walk away from here with more questions than
1420 I do answers. But it has raised a number of troubling
1421 concerns that I have with regard to plans to deal with PAPRs a
1422 respirator in coal mines. I just want to give you a bit of a
1423 background as to why that is a problem. Joe LaMonica and I
1424 have had a lot of conversations; he's my counterpart in the
1425 industry, over this issue for many, many years. We have
1426 worked earnestly to try to develop a worker friendly
1427 respiratory protection for miners that work for them. And the
1428 discussions have mostly been under a standard of which was set
1429 in 1969 that was designed protect coal miners by allowing now
1430 more than 2 milligrams per cubic meter of dust to be in the
1431 mine environment. In trying to figure out ways when we exceed
1432 that level, how miners would be protected. In along those
1433 lines I think we have some common thinking and common

1434 positions in trying to achieve what we are by having a device
1435 that is worker friendly, gets the job done, and does protect
1436 miners. I think there is a large divide beyond that point.

1437 I think people need to understand that and understand why
1438 and understand that there's probably going to be a lot more
1439 discussion about this whole respiratory protection issue. It
1440 is because in 2000 there was a proposal made by the
1441 government, not this agency NIOSH but the sister agency MSHA,
1442 to allow respiratory protection to be used as a means to
1443 replace in part environmental controls in mines. The 1969
1444 Coal Mine Act originally passed mandated two things that the
1445 coal mining industry had to get their act together and get the
1446 dust levels down to no more than 2 milligrams per cubic meter
1447 with lesser dust exposures sought beyond that. If you have a
1448 quartz component in that it would even lower the standard even
1449 more. It also said to the mining industry you're not going to
1450 use respirators to achieve that, you're going to use
1451 engineering or environmental controls to get those dust levels
1452 down. That was in 1969 when we had far less technology and
1453 wherewithal to fix dust problems than we do today.

1454 Some thinking started to divert from that in 2000 when a
1455 proposal was launched that called for the use of respirators
1456 on coal mining longwalls as a substitute for environmental
1457 controls that would allow the dust levels to double up to 4

1458 milligrams. That proposal, as you can imagine, for miners was
1459 strongly opposed. It was wrong headed it was the wrong
1460 direction to go and it really didn't meet the interest of the
1461 miners nor we think the mining industry. That standard not
1462 only was opposed by miners it was contrary to findings of a
1463 fellow advisory committee that examined the means necessary to
1464 eradicate coal workers leumokoliosis, to eliminate coal dust,
1465 it was contrary to NIOSH findings about how to fix this
1466 problem. It just run against the grain of the whole intent of
1467 congress in this country. None-the-less the proposal was laid
1468 out, and it was thank goodness withdrawn. Unfortunately about
1469 a month ago another proposal came to the table. This time
1470 that proposal again wanted to replace environmental controls
1471 with respirators to achieve a certain measure of dust control,
1472 however you want to phrase it, in the mines. It also said
1473 lets just don't apply this to longwalls lets apply it
1474 throughout the mine.

1475 It said forget about the 4 milligram let's quadruple it
1476 and let them go up to 8 milligrams. Now as I stand here today
1477 and it's like part in anger and part in disgust that before
1478 this government policy is leading us I have to say that part
1479 of this equation has now drawn in this whole PAPR issue
1480 because the PAPR is the golden goose here that's suppose fix
1481 this problem. I've been around this industry I worked in the

1482 industry before the 1969 Coal Mine Act went into affect I know
1483 what dust levels were back then and I know how we worked hard
1484 to achieve those. I have been as administrator of health of
1485 safety for the United Mine Workers since 1982 been directly
1486 involved in the whole respirable coal dust program. I have
1487 met eye-to-eye with mine operator after mine operator that
1488 says Joe we can't get these dust levels down you've got to let
1489 us use respirators and we said no go back to the drawing board
1490 and come up with ways to do that. On longwalls over the years
1491 we have seen dramatic improvements. We've seen water sprays
1492 put on shields, we have seen better spray designs on shears,
1493 we have seen cutting speed changes, we have seen the cut bit
1494 changes, we've seen a number of things. But had we said yes
1495 we've exhausted engineering controls I can you where we'd be
1496 at today and the right thing for us to have said was no.
1497 Let's get the engineering controls in place as congress
1498 mandated in 1969. Let's deal with the respirator protection
1499 that gets us on those creeps up and down in the 2 range as
1500 they occur. And let's get a continuous sampling of coal mines
1501 in place so we know what the dust levels are. Let's build
1502 something that works and continue the gains that were made as
1503 opposed to abandoning the gains we've made in controlling the
1504 dust in the coal mines.

1505 So as I stand here today my thoughts probably my
1506 discussions would be a little different than they were when we
1507 were talking about a 2 milligram standard being the standard
1508 of the day and how we achieve that with engineering controls
1509 and how we use respiratory protections to deal with excursions
1510 over the 2 milligram standard. Because I can tell you this my
1511 unions nor miners are going to support the outrageous
1512 proposals that we're seeing coming out of this government that
1513 calls for raising dust levels to 8 milligrams in this country
1514 and using a PAPR as an alternative device to make the
1515 difference.

1516 Secondly, points have been raised as regards to the PAPRs
1517 yes what I have witnessed in mines, I'm in the mines quite a
1518 bit, I see what miners do and no they don't set at desks
1519 typing. They do manual work and some of the hardest working
1520 Americans you're going to find out there is coal miners in
1521 today's mines. Some of them work harder than many in this
1522 room can imagine and they breathe hard. And if there is an
1523 over powering of those devices we should be dealing with that.
1524 Which gets me to a number of questions that I have about how
1525 we test these, how we put them out for use. What is a real
1526 validation of these devices? This is not the direction that
1527 we can or need to go to help America's coal miners. We need
1528 to be discarding these outrageous proposals and getting back

1529 to some common sense approach as we were in the past and try
1530 to fix a problem of developing a worker friendly device that
1531 works under the constraints of the limits set and start
1532 reducing even further that 2 milligram.

1533 We need to go to 1 we don't need to go to 8. I think
1534 it's a standard that is an approach that is supported by many.
1535 Now it may not be by a mine operator that's want to crank up a
1536 lot of coal and run a lot of production on the section and not
1537 have to spend the money to put dust controls on, but that's
1538 the price of doing business in this industry. How are we
1539 approving these devices? We have miners out there that wear
1540 these devices or would wear these devices 8, 9, 10 hours a day
1541 some of them work 6 and 7 days a week. Is that healthy? Is
1542 there some impact on the human physique about wearing those?
1543 Have we done tests to determine that? What are the air flows
1544 we're actually checking these, the performance of these
1545 devices against? Is it sitting the typewriter for X-amount of
1546 hours? Or is it replicating the actual work that these miners
1547 do? The point that was raised about the skirts, in most cases
1548 where I have saw miners wear those they don't wear the shirts.
1549 If you go on the longwall where you have a lot of water coming
1550 off of your shields and off of your shear to keep the dust
1551 down you have condensation. Now I challenge any one of you to
1552 go to a damp location, encircle your head and with a reduced

1553 breathing process see how much humidity you have in front of
1554 your eyes to what you can see out the other side. These are
1555 all things that need to be examined. The real workplaces are
1556 where these devices are used. What about the shield test?
1557 Once they are approved, is there any follow-up approval? What
1558 about the intrinsically safe issue? Over the last 12 months
1559 we got a number of mine fires and explosions in this country
1560 which are far from eradicated.

1561 It's a common problem unfortunately we face. We have
1562 miners that are using these so called PAPRs in locations that
1563 are in by the last different crosscut that has the potential
1564 for an explosion. Are we testing those on any routine basis
1565 like we do all the other equipment, at least every 30 days, to
1566 make sure that it is maintaining its permissibility and its
1567 intrinsically safe status? How do we test units that are in
1568 use over a month, 2 months, 6 months that sometimes takes a
1569 pretty rugged abuse? I've seen mechanics that work on these
1570 longwalls that crawl in and out of these jacks, tight spots,
1571 tough conditions, tough terrain. What do we do to validate
1572 their continued performance with the standard? What is it
1573 we're doing to make sure that as these are approved we have a
1574 quality control that assures that the end-user is using that
1575 device as it was approved?

1576 Because I can tell you what I saw in coal mines these
1577 units that are approved by NIOSH now which have the neck
1578 skirts are not being used in mines with that neck shirt on in
1579 many locations. What does that mean? How is it we find
1580 ourselves knowing the shortfalls of these systems that we now
1581 want to talk about taking this PAPR that has all these
1582 inadequacies and say let's not only double the dust standards
1583 we're going put this miner in let's triple them. Where is the
1584 common sense? I fail to see it. Yes we were agitated by this
1585 approach, can't believe that the government would be doing
1586 this to miners given the fact that tens of thousands of coal
1587 miners have died from a black lung disease they've got from
1588 breathing the dust. And given the fact its cost billions and
1589 billions of dollars to cover the compensation to those that
1590 have been disabled, where is the logic here.

1591 We're going to have a number of questions to pose to
1592 NIOSH and some of the folks; we'd like to get some of the
1593 papers that you have. But until we get off of some of these
1594 crazy ideas I think there's going to be a lot of differences
1595 here on what it is that we're talking about, what a PAPR
1596 should do in a coal mining industry. I would urge the
1597 government and those that sell PAPRs, those that buy PAPRs,
1598 not to buy a product that fails to meet the test of adequately
1599 protecting the miner. And making sure that everyone

1600 understands that what's happening here is that we have not the
1601 world that you've seen in terms of the dust standard that has
1602 been in effect since 1969 but an approach here to quadruple
1603 that dust environment that we're about ready to stick miners
1604 in. Thank you very much.

1605 **GÖRAN BERNDTSSON:** Let's give you a little bit of the
1606 background. In 1989 we were asked by some the smelting and
1607 mining industry in Australia to be part of a study to start
1608 understanding the physical burden that was added to miners and
1609 smelting workers when they went from 8-hour shifts to 12-hour
1610 shifts. That is where we started looking on, we were actually
1611 setting out together with this company where we were video
1612 filming the process of what people were doing, we were taking
1613 heart rates; we would start learning about the peak flows and
1614 the understanding from all sorts of angles, heat extortion
1615 other different clothing, etc. That's where we start learning
1616 that these peak flows we were measuring were not even close to
1617 anything we are testing. That is the message. Since then I
1618 have been trying to refine the way and manage to refine the
1619 way we are testing. I have hundreds and hundreds of files
1620 where people being out in the industry, in the smelting
1621 industry, in the mining industry where we have measured heart
1622 rates, breathing rates, volumes, etc. It's a matter of fact
1623 no one (inaudible) hardly anywhere around the world are

1624 testing respirators with possibly the exception of SCBA's.
1625 It's even (inaudible). That's the reason (inaudible).

1626 **JOE MAIN:** I think that was a statement more than a
1627 question. I think it's pretty clear about where our concerns
1628 are at and what our great concerns are about the direction
1629 this country takes to protect workers when it comes to a
1630 danger or an unhealthy coal mine dust. Any other questions,
1631 we'd be glad to take any that you have.

1632 **TIMOTHY REHAK:** That's the last of our outside speakers.
1633 If anyone is interested or would like to make a comment or ask
1634 any questions from the previous speakers, come up give us who
1635 and what organization you represent. Any final comments?

1636 Okay on behalf of NIOSH I would like to thank everyone
1637 for coming to the public meeting today. Again you can contact
1638 the Docket Office, referencing NIOSH 008, to get copies of the
1639 presentations. Thank you.

(END)