

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKGROUP MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

PINELLAS

The verbatim transcript of the Workgroup Meeting of the Advisory Board on Radiation and Worker Health held at the Airport Marriott, Hebron, Kentucky, on June 11, 2008.

STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTERS
404/733-6070

C O N T E N T S

June 11, 2008

WELCOME AND OPENING COMMENTS DR. CHRISTINE BRANCHE, DFO	6
INTRODUCTION BY SC&A DR. JOHN MAURO, SC&A	11
ISSUE 1: RECONSTRUCTION OF DOSES IN THE ABSENCE OF EARLY HEALTH PHYSICS INDUSTRIAL HYGIENE AND ENVIRONMENTAL RECORDS	20
ISSUE 2: METAL TRITIDES	33
ISSUE 3: MDCs FOR PLUTONIUM BIOASSAY SAMPLES	41
ISSUE 4: PERSONNEL DOSIMETRY POLICY	93
ISSUE 5: PROBLEMS WITH PERSONNEL DOSIMETRY	95
ISSUE 6: D&D ERA	95
ISSUE 7: MISSING INTERNAL DOSE ESTIMATION METHODS FOR UNMONITORED WORKERS	97
ISSUE 8: POTENTIAL FOR MISSED DOSE FOR DEPLETED URANIUM	101
ISSUES 9,10,11: OCCUPATIONAL MEDICAL EXPOSURES	109
SECONDARY FINDINGS	126
REVIEW OF ACTION ITEMS	166
COURT REPORTER'S CERTIFICATE	180

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- ^/ (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

DESIGNATED FEDERAL OFFICIAL

BRANCHE, Christine, Ph.D.

Principal Associate Director

National Institute for Occupational Safety and Health

Centers for Disease Control and Prevention

Washington, DC

BOARD MEMBERSHIP

1
2
3

CLAWSON, Bradley

Senior Operator, Nuclear Fuel Handling

Idaho National Engineering & Environmental Laboratory

GIBSON, Michael H.

President

Paper, Allied-Industrial, Chemical, and Energy Union

Local 5-4200

Miamisburg, Ohio

PRESLEY, Robert W.

Special Projects Engineer

BWXT Y12 National Security Complex

Clinton, Tennessee

SCHOFIELD, Phillip

Los Alamos Project on Worker Safety

Los Alamos, New Mexico

IDENTIFIED PARTICIPANTS

ADAMS, NANCY, NIOSH
DARNELL, PETER, OCAS
ELLIOTT, LARRY, NIOSH
GLECKLER, BRIAN, ORAU
HOWELL, EMILY, HHS
KOTSCH, JEFF, DOL
MAURO, JOHN, SC&A
MIAOULIS, SHIRLEY, CONG. YOUNG
NETON, JIM, NIOSH
PHILLIPS, CHICK, SC&A
THOMAS, ELYSE, ORAU

P R O C E E D I N G S

(9:00 a.m.)

WELCOME AND OPENING COMMENTS
DR. CHRISTINE BRANCHE, DFO

1 **DR. BRANCHE:** Welcome to the first meeting of
2 the Pinellas Working Group. I'm Dr. Christine
3 Branche, and I'm the Designated Federal
4 Official. It's a pleasure to be with you this
5 morning. I would first like to ask the Board
6 members who are in the room to announce their
7 names, please.

8 **MR. SCHOFIELD:** Phillip Schofield.

9 **MR. CLAWSON:** Brad Clawson.

10 **MR. GIBSON:** Mike Gibson.

11 **MR. PRESLEY:** Robert Presley.

12 **DR. BRANCHE:** Any Board members
13 participating by phone, would you please state
14 your name?

15 (no response)

16 **DR. BRANCHE:** Dr. Poston, are you on the
17 line?

18 (no response)

19 **DR. BRANCHE:** Are there any other Board
20 members who are on the line?

1 (no response)

2 **DR. BRANCHE:** We do not have a quorum so we
3 can, of the Board, so we can continue.

4 Would any NIOSH staff in the room
5 please state your name?

6 **MR. ELLIOTT:** Larry Elliott, NIOSH, no
7 conflict with Pinellas.

8 **DR. BRANCHE:** Thank you.

9 And state your conflict. I appreciate
10 it.

11 **DR. NETON:** Jim Neton, NIOSH, no conflict.

12 **MS. ADAMS:** Nancy Adams, no conflict.

13 **MR. DARNELL:** Peter Darnell, OCAS, no
14 conflict.

15 **DR. BRANCHE:** OCAS staff in the room, please
16 state your names and say if you have a
17 conflict with Pinellas. What did I say?
18 ORAU. I said OCAS. I meant ORAU.

19 **MS. THOMAS:** Elyse Thomas, no conflict with
20 Pinellas.

21 **MR. GLECKLER:** Brian Gleckler, no conflict
22 with Pinellas.

23 **DR. BRANCHE:** NIOSH staff participating by
24 phone, would you please state your name and
25 say whether or not you have a conflict with

1 Pinellas?

2 (no response)

3 **DR. BRANCHE:** ORAU staff participating by
4 phone, would you please state your name and
5 state whether or not you have a conflict with
6 Pinellas?

7 (no response)

8 **DR. BRANCHE:** SC&A staff in the room --
9 we'll get back to them. John Mauro is here.

10 SC&A staff participating by phone
11 would you please state your name and say
12 whether or not you have a conflict with
13 Pinellas?

14 (no response)

15 **DR. BRANCHE:** Other federal agency staff in
16 the room, please state your name and state
17 whether or not you have a conflict with
18 Pinellas.

19 **MS. HOWELL:** Emily Howell, HHS, no conflict.

20 **DR. BRANCHE:** Other federal agency staff
21 participating by phone, would you please state
22 your name and state whether or not you have a
23 conflict with Pinellas.

24 **MR. KOTSCH (by Telephone):** Jeff Kotsch,
25 Department of Labor.

1 **DR. BRANCHE:** Jeff, do you have a conflict
2 with Pinellas?

3 **MR. KOTSCH (by Telephone):** No.

4 **DR. BRANCHE:** Thank you.

5 Petitioners or their representatives,
6 would you please state your names?

7 (no response)

8 **DR. BRANCHE:** Petitioners or their
9 representatives, would you please state your
10 names?

11 (no response)

12 **DR. BRANCHE:** Members of Congress or your
13 reps, would you please state your names?

14 (no response)

15 **DR. BRANCHE:** Anyone else who is
16 participating by phone would you please state
17 your names for the record, if you wish.

18 (no response)

19 **DR. BRANCHE:** Thank you.

20 Again, I ask people in the room to
21 please mute your phones. And those of you
22 participating by phone, would you please mute
23 your phones as well? It is very important for
24 the quality of the sound for everyone
25 participating by phone that you mute your

1 line. If you do not have a mute button, then
2 please use star six to mute your phone. Then
3 you would need to use that same star six to
4 un-mute your phone when you're ready to speak.
5 Thank you so much. I appreciate your using
6 telephone etiquette so that everyone
7 participating by phone can hear. Thank you so
8 much.

9 Mr. Schofield, it's all yours.

10 **MR. SCHOFIELD:** I appreciate everybody
11 coming. This is the first work group meeting
12 we've got on Pinellas. I don't know, I
13 haven't really set on how far we're going to
14 get through today since this is the first one.
15 I would like to get through it today, but if
16 we don't well then I guess we meet again. I'd
17 like to go ahead and start with the first
18 issue here and turn it over to NIOSH.

19 **DR. BRANCHE:** What is the first issue?

20 **MR. SCHOFIELD:** The reconstruction doses.

21 **DR. BRANCHE:** Okay, thank you.

22 **DR. NETON:** It might be better if SC&A
23 stated their position and then we would
24 respond to it.

25 **DR. BRANCHE:** We may have a bit of a

1 challenge with that since our only SC&A
2 representative is not in the room.

3 (Whereupon, the meeting paused until Dr.
4 Mauro joined the meeting.)

5 **INTRODUCTION BY SC&A**

6 **DR. MAURO:** Good morning, John Mauro, no
7 conflict, SC&A.

8 **MR. SCHOFIELD:** And it's turned over to you.

9 **DR. MAURO:** And I have it. Okay, that's
10 fine. I stepped away from the table for a
11 moment to make a few copies of the matrix
12 handouts. Christine is finishing up. We're
13 almost done.

14 This I believe is the first meeting of
15 the Pinellas Working Group. Most of you, if
16 not all of you, have received not only our
17 main report, the bound version, ^ bound, that
18 was dated September 15th, 2006. So that work
19 was completed I guess over a year and a half
20 ago.

21 And in the interim by the way after
22 issuing this report, which I believe is on the
23 web available for public consumption, SC&A and
24 members of the Board were asked to meet with
25 Senator Bill Nelson's folks. And Suzy Perez

1 Quinn (ph) was the young lady that met with us
2 to get a briefing on this. So there's a
3 little bit of background information.

4 In addition, anyone who doesn't have
5 an extra copy, there are -- I made four all
6 together.

7 **DR. BRANCHE:** And three of them have been
8 distributed.

9 **DR. MAURO:** Very good. It looks large, but
10 it's not that large. There's some empty pages
11 for room for NIOSH to fill in.

12 The matrix that you have before you is
13 a little different in format than we've used
14 in the past.

15 **DR. NETON:** John, before you get too far,
16 you talked about Rev 0 was issued 9/15/06.
17 But there's also a Rev. 1 with a May 2007
18 date. Do you know what the difference is
19 between those two documents? It might be
20 potentially the same.

21 **DR. MAURO:** It might be just the PA cleared.
22 That is, the original one may have -- I'm
23 guessing right now.

24 **DR. NETON:** I'd be surprised because it's
25 actually listed I think as Rev. 1.

1 **DR. MAURO:** Called Rev 1?

2 Chick Phillips, are you on the line?

3 (no response)

4 **DR. NETON:** Sorry, no, it is Rev. 0 still.

5 So that's probably it. I was just confused
6 because there's two issue dates, and then the
7 May 2007 is the one that I brought, and I
8 think they're the same document.

9 **DR. MAURO:** I'm not aware of any changes.

10 The only time that happens is when we go
11 through from a non-PA to a PA-cleared version.
12 Other than that I'm not aware of any changes
13 that were made.

14 **DR. BRANCHE:** There's someone participating
15 by phone who's typing, and you haven't yet
16 muted your phone. Perhaps you joined us late.
17 Would you please mute your phone? If you do
18 not have a mute button, then please use star
19 six. Thank you very much.

20 **DR. NETON:** I checked the findings, and
21 they're the same.

22 **MS. MIAOULIS:** Excuse me. I'm Shirley with
23 Congressman Young's office. I didn't know if
24 you knew I was on.

25 **DR. BRANCHE:** No, I did not, so thank you

1 very much. Shirley, do you have a last name
2 that we can use?

3 **MS. MIAOULIS:** Yes, it's M-I-A-O-U-L-I-S.

4 **DR. BRANCHE:** M-I-A-O-U-L-S?

5 **MS. MIAOULIS:** No, M-I-A-O-U-L-I-S, and I'm
6 with Congressman Bill Young.

7 **DR. BRANCHE:** Thank you so much. I do ask
8 that you use a mute button or star six so that
9 you can mute your line so that everyone
10 participating by phone will be able to hear
11 without any hindrance. And I appreciate your
12 joining us this morning. Thank you.

13 **MR. PHILLIPS (by Telephone):** This is Chick
14 Phillips. I'm on now, with SC&A.

15 **DR. MAURO:** Chick, good timing. Jim Neton
16 raised a question. He noticed that our report
17 that I have in front of me, the hard copy now,
18 is dated September 15th, 2006. And apparently
19 there is a Rev. 0 and a Rev. 1, and he asked
20 whether or not that, in fact, is the case that
21 there was a revision made. And I just
22 speculated that that might be going from the
23 non-PA to the PA-cleared version. Do you have
24 any further information regarding that
25 transition?

1 **MR. PHILLIPS (by Telephone):** No, I don't,
2 John. I assume that to be the case.

3 **DR. MAURO:** That's what I do also because I
4 don't remember any change, any substantive
5 changes made to the original draft. I think
6 we all have --

7 **MR. PHILLIPS (by Telephone):** Well, let me
8 back up. We did, too. We went back and
9 revised it to include the questions that were
10 submitted to NIOSH.

11 **DR. MAURO:** Okay, and I was about to talk
12 about that a little bit. The reason why the
13 matrix looks quite a bit different than what
14 you're used to seeing is we decided -- see,
15 what happened, normally, when we prepare a
16 site profile review, one of the steps in the
17 process along the way before we issue the
18 report is to prepare a list of questions, and
19 we send it off to NIOSH to have what I call a
20 technical clarification/verification session.

21 Basically, in those conference calls
22 we present to NIOSH some questions regarding
23 the report that, the site profile, whereby
24 we're just seeking clarification, further
25 information regarding those matters. It turns

1 out because of at the time the press of the
2 timing was such that it wasn't possible to go
3 through that particular step.

4 So as a result we issued our draft
5 report without the attachment that normally
6 would contain the questions and the answers
7 that result from those conference calls.
8 Subsequent to issuing this report we did
9 receive a written response from NIOSH to those
10 questions. So in a way you could almost think
11 about those questions almost like the first
12 round of the closeout process in a way to look
13 at it.

14 So we thought that to expedite matters
15 we would include those questions and answers
16 in the matrix, sort of kick this off and get a
17 step up on the process. So you're going to
18 see that, for example, you have the package in
19 front of you.

20 What we tried to do, on the very first
21 page, we just have, we numbered the, there are
22 a total of 11 findings and a number of
23 observations. The important issues are the
24 findings. You'll see each page has a number,
25 issue number, basically a very brief statement

1 of what the issue is, to right of the number
2 one. And to the right of the issue you'll see
3 a paragraph that is SC&A's finding. This
4 comes right out of the executive summary.

5 Below, the second half of each page,
6 you'll see the question that SC&A posed in
7 writing to NIOSH as part of the process. And
8 then to the right of that column you'll see
9 NIOSH's written response to us regarding that
10 question.

11 And then finally, to the right of that
12 you'll see SC&A's what I would call
13 recommended internal resolution. It's really
14 meant for our own purposes, but I thought it
15 would serve the working group well to see the
16 information that had transpired up to today.

17 You'll see behind there's a blank
18 page. The blank page is really there now with
19 the expectation that as a result of this
20 meeting, NIOSH might want to have some
21 additional comments. SC&A might wish to
22 respond to those comments. And, of course,
23 there's always the column for Board
24 recommendation and actions. So each one of
25 the 11 findings is structured this way.

1 With that what I presume that we'll do
2 is march through the 11 findings and get the
3 dialogue started. I'd like to point out that
4 out of the 11, I believe three we wrote down
5 as part of our recommended resolution. As far
6 as we're concerned the issue is resolved. But
7 that leaves eight that probably require some
8 discussion.

9 I noticed when I was going over this
10 that the reason it's so thick is it does not
11 only include the 11 findings, but behind the
12 11 findings there's a page for each of what we
13 call observations. In reviewing the
14 observations you'll see that there's a lot of
15 similarity between the findings --

16 **DR. BRANCHE:** I'm sorry.

17 If everyone would please make certain
18 that you've muted your phones, I would
19 appreciate it. Thank you.

20 John, continue.

21 **DR. MAURO:** You'll see that if we do get
22 through the 11, my guess is we'll get through
23 the observations pretty easily because there's
24 a lot of -- once we get through the 11, for
25 all intents and purposes there really is not

1 much left in the observation section, but
2 we'll take a look at that just to make sure of
3 it.

4 And I guess by way of introduction at
5 that point I'd like to turn it over to Chick
6 Phillips. Chick is with SC&A. He's our
7 radiochemist, and he also was the lead author
8 for putting together the site profile review.

9 So, Chick, if I may, I'd like to turn
10 it over to you.

11 (no response)

12 **DR. BRANCHE:** You may need to un-mute your
13 phone, Chick.

14 (no response)

15 **DR. BRANCHE:** Chick, you may be speaking,
16 but you've got your mute button on or you've
17 used star six.

18 (no response)

19 **DR. MAURO:** Did we lose the connection? Is
20 there anyone else there on the line?

21 **UNIDENTIFIED SPEAKER (by Telephone):** Hello.

22 **DR. BRANCHE:** Okay, so we know that we have
23 the phone working.

24 Chick Phillips, are you there?

25 (no response)

1 **DR. MAURO:** I assume for some reason we lost
2 Chick. Hopefully, he'll be back and I'll do
3 the best I can to pick it up and take it from
4 there. So let's get started.

5 **ISSUE 1: RECONSTRUCTION OF DOSES IN THE ABSENCE OF EARLY**
6 **HEALTH PHYSICS INDUSTRIAL HYGIENE AND ENVIRONMENTAL**
7 **RECORDS**

8 Issue number one that we call
9 reconstruction of doses in the absence of
10 early health physics industrial hygiene and
11 environmental records. The essence of this
12 point is that apparently 1980 was a pretty
13 important year in terms of the transition of
14 the records for Pinellas going from a time
15 period when the records were relatively sparse
16 to when the records were quite a bit better.

17 And this issue goes toward, the
18 question we raised is that we'd like to hear a
19 little bit more -- remember, this one has a
20 question and answer. So we raised the
21 question we'd like to hear a little bit more
22 about how you're going to deal with the pre-
23 1980 where the records were somewhat sparse.

24 NIOSH responded, and the answer was
25 despite some limitations in reference

1 detailing processes during the lifetime of the
2 Pinellas plant, NIOSH is confident that the
3 claimant favorability of the assumptions that
4 were adopted for dealing with the early data
5 are claimant favorable.

6 Now I also understand that --

7 **MR. PHILLIPS (by Telephone):** I'm back. I'm
8 sorry.

9 **DR. MAURO:** Okay, Chick, thanks for getting
10 back. You just saved me. I was doing the
11 best I could to carry the ball. Where I am
12 right now I just started to introduce issue
13 number one with the issue dealing with the
14 1980 time period and the break point between
15 pre-'80 and post-'80 and what the questions
16 and answers were and what our position is. If
17 you could take it from here, I'd appreciate
18 it.

19 **MR. PHILLIPS (by Telephone):** Okay. I might
20 just amend what I heard you say in the
21 beginning. And that is you said that there
22 were three findings that we were in basic
23 agreement with, and we considered to be
24 closed. And I'm not sure that I heard
25 everything because I was having a bad

1 connection. But did you mention that some of
2 these that we considered closed are dependent
3 upon the revision of the site profile
4 documents in accordance with what the NIOSH
5 response was?

6 **DR. MAURO:** No, I did not say that. So,
7 yeah, perhaps you should clarify that for us.

8 **MR. PHILLIPS (by Telephone):** As we go
9 through here you'll see that the response from
10 NIOSH indicates that there will potentially,
11 at least, be some changes in the site profile
12 documents. So when we say we're in agreement
13 with that, of course, it's contingent upon the
14 changes to the site profile documents.

15 John, I'm not sure how far you got
16 with number one. I'm sorry.

17 **DR. MAURO:** Why don't you just take it from
18 the top.

19 **MR. PHILLIPS (by Telephone):** Issue number
20 one, reconstruction doses in absence of early
21 records. In the site profile documents it
22 indicates that there's an absence of pre-1980
23 records. And, of course, the problem with
24 that is that one has to project into the early
25 part of this, early part of the dose

1 reconstruction, records and information that
2 are post-1980. We went to considerable
3 lengths beyond the information contained on
4 the O drive and in the site profile documents
5 to obtain additional records from other sites.

6 We were not real successful in doing
7 that, and there's a summary of that on the
8 right-hand side in the recommended resolution
9 part of that. But that is still a concern,
10 and I'm not sure if anything has been done
11 beyond the site profile, the information in
12 the site profile documents, by NIOSH to recoup
13 any of those early records at this point. We
14 have no indication that there has been.

15 **MR. DARNELL:** This is Pete Darnell speaking.
16 I don't know if I can agree with you saying
17 absent. Sparse is probably closer to the
18 truth as far as records being available.
19 NIOSH has done record searches, and as always,
20 as more documentation comes up we're willing
21 to change the technical basis documents, add
22 to the technical basis documents. If you have
23 any other locations that we should be
24 searching for, let us know, and we'll go look.

25 **DR. NETON:** This is Jim Neton. Just for my

1 own edification because I'm not as up to speed
2 on this as I'd like to be. What type of
3 records are we really talking about are really
4 sparse? It seems to me that we had a fair
5 amount of external dosimetry data in the early
6 years. So are we primarily talking about
7 external dosimetry data, bioassay data, that
8 kind of thing?

9 **MR. PHILLIPS (by Telephone):** Yes, those are
10 the kinds of things that we're talking about.

11 **DR. MAURO:** Both, Chick, both internal and
12 external?

13 **MR. PHILLIPS (by Telephone):** Yes.

14 **DR. NETON:** I saw some earlier analyses I
15 thought though that the external was a pretty
16 consistent 20 percent of the population
17 monitored or so or something of that nature
18 which I believe we would tie to the, probably
19 the appropriate percentage of the workforce
20 that needed to be monitored, that sort of
21 thing.

22 **MR. PHILLIPS (by Telephone):** How the
23 selection for the personnel that were
24 monitored, those kind of records that would
25 allow you to determine if the right population

1 was monitored and those records, those actual
2 records from that early.

3 **DR. NETON:** We're not talking about getting
4 additional monitoring records because they
5 more than likely don't exist. We're talking
6 about documentation of the radiological
7 protection program itself? I'm a little bit
8 confused here.

9 **MR. PHILLIPS (by Telephone):** Yes, we're
10 talking about those kind of documents as well
11 as any personnel records that are available
12 from that time period.

13 **DR. MAURO:** Chick, I noticed in looking over
14 the matrix and reading the report issue number
15 four deals a bit with this where dealing with
16 the data, and there was a rather lengthy
17 response provided in writing by NIOSH. It's
18 issue number four where they talk a bit about
19 the program and how it matured over time, the
20 external dosimetry program it appears.

21 And our response in that case was
22 NIOSH response is acceptable to SC&A. Is that
23 indicative that perhaps that particular aspect
24 of the early data are okay or is there still
25 more to the story that you feel we need to

1 talk about?

2 **MR. PHILLIPS (by Telephone):** It's a matter
3 of documentation of anything in the early part
4 of this, pre-1980, particularly the records
5 relative to the rad safety program and how the
6 selection was made of the workers to be
7 monitored.

8 **DR. MAURO:** Chick, I notice that that
9 documentation is, at least to a certain
10 degree, provided in issue number four. Now,
11 would you say that if the, that that material
12 that's described under NIOSH response for
13 issue number four, if that were contained in
14 the site profile, would that ameliorate a bit
15 your concern regarding adequacy of external
16 dosimetry data and documentation of the
17 rationale behind the 25 percent or 20 percent
18 of the people that were selected?

19 Because when I read that, I got the
20 sense that this particular, I guess I read
21 this as new material that explain what the
22 rationale was, and if there was some citation
23 of some documents that were published by GE
24 that's quoted here by NIOSH that explains
25 that, in effect, at least in those days --

1 they go back as early as 1966 -- that there
2 was some discussion of the rationale for who
3 was monitored and who wasn't monitored. And I
4 guess me question is does that do the trick?

5 **MR. PHILLIPS (by Telephone):** If it were
6 adequately documented in the site profile, I
7 think that would alleviate a lot of the
8 concerns, yes.

9 **DR. MAURO:** Okay, so that's important. What
10 this means that in principle with that
11 response if that response, in fact, was
12 contained in the site profile that would never
13 have come up as an issue. And so it's really
14 a matter of revising the site profile to make
15 that clear. Or is there anything else beside
16 that that you would be looking for?

17 **MR. PHILLIPS (by Telephone):** No, I think if
18 we've done an adequate, you know, if we've
19 done the search of the other sites, which we
20 seem to have done, then I think that would
21 satisfy number one.

22 **DR. MAURO:** So what I'm hearing is two
23 facets to this. One is the language that's in
24 response to number four goes to a degree
25 responding to this concern. But it sounds

1 like you'd also feel a little more degree of
2 comfort if they went to some of these records
3 centers such as LANL, Kansas City plant, SRS,
4 Los Alamos, et cetera. And I heard from you
5 that there was an attempt made to search those
6 records by SC&A or was that a limited effort
7 or, and you did not come up with anything?

8 **MR. PHILLIPS (by Telephone):** Yes, I think
9 we went as far with that as we could. And I
10 think we would like to be sure that NIOSH has
11 depleted that effort, and they feel that they
12 have retrieved from all these other sites
13 which had some ties to Pinellas. And they
14 came up with a similar result or either to
15 include those in the site profile.

16 **MR. GLECKLER:** This is Brian Gleckler from
17 the ORAU team. I'm the site profile owner
18 now, the new site profile owner. Just to make
19 sure I understand this, like I'm still not
20 real clear on what types of records we're
21 referring to. Are we, to me I interpret it as
22 personal exposure records, but it sounds more
23 like programmatic-type records that we're
24 referring to. Can I get a clarification on
25 that?

1 **MR. PHILLIPS (by Telephone):** Yes,
2 programmatic-type records, in particular as we
3 go through this you'll see that there were
4 early concerns about the X-ray equipment and
5 calibration and those kind of things, that
6 that's available at another location.

7 **MR. GLECKLER:** Thank you.

8 **DR. MAURO:** Chick, I also noticed that there
9 was a lot of concern about -- in some of the
10 other findings -- internal dosimetry records
11 for some, what I would call the more exotic
12 radionuclides, at least at this site. Would
13 that be part of the concern, too?

14 **MR. PHILLIPS (by Telephone):** Yes, it would.
15 Any other comments on that particular issue?
16 Are we clear on that?

17 (no response)

18 **DR. MAURO:** Phil, I guess my question to you
19 is there any action item or recommendation or
20 do you feel that this issue, I mean, it's
21 really a matter now of is there anything more
22 that you feel might need to be done to --

23 **MR. SCHOFIELD:** Not until the record search
24 has gone on to see if there are any more
25 records. I'd like to see if they can put a

1 copy of the Tiger Team's findings on the O
2 drive if that would be possible.

3 **MR. PHILLIPS (by Telephone):** I'm sorry. I
4 missed that.

5 **MR. SCHOFIELD:** I would like to see the
6 Tiger Team's findings posted to the O drive,
7 if possible, and any other records you find
8 that we could use these for comparisons.

9 **DR. NETON:** I'm a little concerned about us
10 committing to do any additional record
11 searches right now. I'm not saying we won't,
12 but I think we can prepare a response that
13 sort of summarizes what we just talked about,
14 which is the additional information response
15 four is relevant to one. There may be some
16 exotic nuclide issues to be addressed.

17 And I think we would like some time to
18 evaluate the appropriateness or the
19 fruitfulness of us conducting additional
20 searches at a number of sites. Those can be
21 extremely costly and time consuming. We're
22 not saying we won't but before we commit to
23 that, I think we'd like to craft a response.

24 **MR. CLAWSON:** For an action item what we'd
25 probably have is NIOSH will further

1 investigate the --

2 **DR. NETON:** Evaluate the utility of
3 capturing additional records at these sites.
4 We may indeed have gone to some of these sites
5 already. I don't know. We may know
6 internally among our data capture teams that
7 it's unlikely that we'll obtain some
8 information because these sites can be very
9 time consuming and costly.

10 **MR. SCHOFIELD:** That sounds like a
11 reasonable approach.

12 **DR. NETON:** Just give us a chance, I think,
13 to explore that, and we may indeed come out on
14 that side of the equation which is, yeah, it
15 makes sense to do it. But I prefer not to
16 commit to that at this meeting.

17 **MS. THOMAS:** We might be better able to
18 document for everyone what records we did
19 search to get the information we have, too.
20 So that's something else we could do.

21 **DR. NETON:** That was my sense. Sometimes
22 that's not adequately communicated in the site
23 profiles, you know, what we did look for, what
24 we didn't.

25 **DR. MAURO:** As a backdrop I know that very

1 often when we get into this stage of the
2 process, especially if it's an SEC, like we
3 just recently went through this process with
4 Fernald where, as a result of the triggering
5 of the SEC and some of the important issues,
6 one of the issues we raised was thorium. And
7 as a result of subsequent efforts to deal with
8 that one particular hot item so to speak, it
9 was retrieval of a substantial amount of
10 additional records. So in that case that was
11 a very important exercise. I'm not saying
12 that's the same thing we have here, but, yeah,
13 you got the idea.

14 **MR. PHILLIPS (by Telephone):** As I
15 understand it you will prepare a response to
16 that --

17 **DR. NETON:** Right.

18 **MR. PHILLIPS (by Telephone):** -- to indicate
19 what has been done as well as what you see
20 might be done beyond that.

21 **DR. NETON:** I also think a very nice, robust
22 summary of our position on the availability of
23 current records in house, like we say, number
24 four addressed, had a response to a lot of
25 number one. I suspect there's also additional

1 information to talk about the, that there'll
2 be records for the exotic radionuclides, that
3 sort of stuff. Those were monitored programs
4 that were in place.

5 **ISSUE 2: METAL TRITIDES**

6 **DR. MAURO:** Chick, if you want to go on to
7 number two.

8 **MR. PHILLIPS (by Telephone):** Hold on just a
9 second, John.

10 **DR. MAURO:** Sure.

11 **MR. PHILLIPS (by Telephone):** John, can you
12 start with number two, please?

13 **DR. MAURO:** Of course, I'll be glad to. In
14 fact, I'm pretty familiar with this particular
15 item. And it's one of the --

16 **DR. NETON:** I just have a quick
17 administrative question before we go further.
18 Who is going to be the person to keep the
19 updated matrix, I guess?

20 **DR. MAURO:** I'm taking notes, and Brad, too,
21 do that. It wouldn't hurt that there be some
22 backup to that.

23 **DR. NETON:** I think Elyse is taking them for
24 our side as well.

25 **DR. MAURO:** Between the two of us we can get

1 it to make sure we've got the story right.

2 DR. NETON: Just so we have a single --

3 MR. CLAWSON: Phil's going to keep track on
4 the matrix of what the action item is.

5 DR. BRANCHE: You're doing it
6 electronically?

7 MR. SCHOFIELD: Yes.

8 DR. NETON: Just want to make sure.

9 DR. MAURO: Item two is --

10 MR. PHILLIPS: (inaudible)

11 DR. BRANCHE: Chick, you're going to have to
12 mute your phone, please.

13 (Whereupon, multiple speakers spoke
14 telephonically and unintelligibly.)

15 DR. BRANCHE: We've got a bad echo. I'm
16 going to hang up and start all over again.
17 Please excuse us for this moment.

18 UNIDENTIFIED SPEAKER (by Telephone): That's
19 better now.

20 DR. BRANCHE: Somebody must have muted or
21 hung up. Again, if you would please mute your
22 lines if you're participating by phone. If
23 you don't have a mute button, then please use
24 star six. Thank you.

25 DR. MAURO: Thank you. Item number two is

1 one of the items that from SC&A's perspective
2 might be one of the more important items in
3 terms of some of the sites where metal
4 tritides is an issue. I've only become
5 familiar with the existence of what a metal
6 tritide is recently, and I've learned a little
7 bit about it.

8 For those of you around the table that
9 may not be familiar with it -- it looks like a
10 lot of you are. It's when you tie a molecule
11 of tritium atom, yeah, I guess it's T₂ to a
12 metal. There are different kinds of metals.
13 And somehow that's used in weapons, and I'm
14 not familiar with it. That's the extent of my
15 knowledge.

16 And it can become aerosolized. That
17 is, if they break -- it's a powder. And
18 apparently, at Pinellas and also other sites
19 apparently this material, metal tritides, has
20 become airborne and has been inhaled. And one
21 of the problems associated with that is that,
22 unlike regular tritiated water -- we know the
23 biokinetics.

24 If you inhale some tritiated water we
25 know it has a ten-day half life in the body.

1 It's clear. It goes through urine. You take
2 urine samples, and based on the urine samples,
3 you could figure out how much tritium was
4 inhaled. Once you know that you could
5 reconstruct the tritium dose. It's really one
6 of the easier ones to deal with.

7 However, if it's tied to this metal
8 particle, -- it's a real microscopic particle
9 -- and inhaled, then the tritium is sort of
10 stuck in your lung, and it's going to sit
11 there and decay while it's sitting in your
12 lung. Or I would imagine that it may be
13 phagocytized whereby, if it's a small
14 particle, like any small particle, it could be
15 grabbed and brought off to the lymph nodes.

16 **DR. BRANCHE:** Phagocytized?

17 **DR. MAURO:** Phagocytized. And so quite
18 frankly, that's the extent of my knowledge of
19 metal tritides and its potential dosimetric
20 implications. But I can envision it being a
21 challenge to reconstruct the doses for two
22 reasons. One, you take a person's urine
23 samples. If it's not being cleared, it's like
24 high-fired plutonium, you're not going to see
25 anything in the urine. And even if you did,

1 what's the biokinetics? In theory you could
2 assume it just sits in the lung.

3 Now I understand very recently that
4 there was an OTIB-0066 that's been published
5 which I haven't looked at, but that might be
6 the magic bullet. We haven't reviewed it, and
7 I guess my recommendation to the working group
8 would be it's probably a good time to review
9 it to see whether or not it resolves this
10 particular issue. And if it resolves the
11 issue here, it's very possible that it
12 resolves the issues in many other places also
13 where this has come up.

14 **DR. NETON:** I think you summarized it real
15 well. TIB-0066 was issued back in April of
16 2007. It does treat more insoluble forms of
17 these tritides, metal hydrides using the ICRP
18 lung model. In other words there are
19 solubility classes of M and S that can be
20 applied and modeled based on the urine. So
21 it's really not that difficult to do.

22 **DR. MAURO:** So there's empirical data on
23 these that people have been studied sort of
24 like the transuranic --

25 **DR. NETON:** Right. To my knowledge there's

1 no Super-S tritide. The worst case we would
2 treat that as Type-S solubility class. And so
3 you take the urine and you model it just like
4 it was a Type-S clearance from the lung. The
5 systemic organs though can be treated just
6 like, once it's systemic then what comes out
7 in the urine is proportional to what's in the
8 system, and you can calculate it that way.

9 **DR. MAURO:** Does it always stay tied? In
10 other words are the two together for life?

11 **DR. NETON:** Once it becomes systemic, then
12 it's free to, just like tritium, it's in the
13 body.

14 **DR. MAURO:** So when it becomes systemic, the
15 tritium does part ways with the metal it's
16 tied to and goes its own merry way?

17 **DR. NETON:** I believe so, yeah, because it's
18 dissolved in the system. We could look at
19 that. The only other thing I would offer is
20 that I think in our site profile for Pinellas
21 should be modified to incorporate TIB-0066,
22 but also to provide guidance as to which
23 workers and which locations might be
24 appropriate to apply that concept.

25 **MR. SCHOFIELD:** Now the question is on these

1 hydrides, does the metal make a real
2 difference in the solubility factor?

3 **DR. NETON:** It does. It does. In fact,
4 there are tables in TIB-0066 identifying which
5 metals would be more soluble or less soluble.
6 I don't recall them, but I think the titanium
7 tritides are, actually might be Type-M or some
8 of the more exotic or some erbiums or there
9 are some other ones out there, scandium.

10 And that's covered in the TIB which
11 SC&A would be able to review. I haven't
12 looked at the background literature
13 completely, but I suspect that we could make
14 this out if we pulled out the data from some
15 study that had been done on solubility.

16 **DR. MAURO:** So this type of material now is
17 in the open literature. From my talking to
18 our folks this was something that people
19 didn't talk about very much.

20 **DR. NETON:** Apparently, there's enough out
21 there for us to have generated this.

22 **MR. SCHOFIELD:** This is going to sound bad,
23 but given the fact that, you know, like
24 depleted uranium is one of those things that ^
25 how are you going to treat the different

1 metals or if they don't know which hydride
2 they were exposed to? Are these going to be
3 treated different during the dose
4 reconstruction?

5 **DR. NETON:** Yeah, we would do like we
6 normally do, you know, take the most claimant-
7 favorable solubility type if we didn't know.
8 That's sort of standard.

9 **MR. GIBSON:** Jim, when were these studies
10 done on hydrides?

11 **DR. NETON:** I don't know. I think this is
12 going to have to wait until the review of TIB-
13 0066. I'm not, you know, I reviewed this a
14 year or more ago. But there's a Mound 2004
15 Technical Basis Document for stable tritiated
16 particles that was issued that's cited in
17 here. There's also a couple of Department of
18 Energy handbooks for special tritium compounds
19 that were issued in 2004 that are referenced
20 in here. I suspect those also reference
21 additional studies.

22 **MR. GIBSON:** So they're fairly recent?

23 **DR. NETON:** Fairly recent, at least 2004,
24 2006.

25 **DR. BRANCHE:** There's a person participating

1 by phone that needs to mute their line,
2 please.

3 **ISSUE 3: MDCs FOR PLUTONIUM BIOASSAY SAMPLES**

4 **DR. MAURO:** If it's appropriate, I think we
5 can move on to number three.

6 **MR. PHILLIPS (by Telephone):** John, I'm
7 back.

8 **DR. MAURO:** Okay, thanks. We just finished
9 covering issue two on metal tritides, and the
10 bottom line is SC&A's going to review OTIB-
11 0066, and NIOSH is going to make appropriate
12 revisions to the site profile to incorporate
13 OTIB-0066 or make reference to it and identify
14 those classes of workers at Pinellas that
15 might be subject to that particular exposure
16 scenario. So I think that's pretty clean, and
17 we can move on to number three.

18 **MR. PHILLIPS (by Telephone):** Okay. In
19 number three there were several concerns about
20 the calculation of the minimum detectable
21 concentration for the plutonium bioassay
22 samples. For one thing if you look at the
23 bioassay data, you see that the MDCs that are
24 calculated in these data, it's highly variable
25 from sample to sample. So when it was not

1 clear exactly what the reason for this is, but
2 one would have to conclude that it probably
3 had to do with the variable recovery in the,
4 radiochemical recovery, in these samples.

5 But the first question we had was
6 regarding to the equation that was in the site
7 profile as to how these were calculated. I
8 think that has been cleared up in NIOSH's
9 response to this. But it is not clear, it's
10 still not clear to us how the average MDCs
11 were calculated.

12 And we believe it was worthwhile to
13 discuss the high variability in the minimum
14 detectable concentrations in the urine samples
15 that are included. So the bottom line on this
16 is we believe that in the site profile there
17 needs to be a further discussion of the MDCs,
18 the variability and the calculation for the
19 average MDCs and the uncertainties associated
20 with those.

21 **MR. GLECKLER:** This is Brian Gleckler. So
22 your primary issue with all this is coming
23 from the variability that you're seeing in the
24 MDC values?

25 **MR. PHILLIPS (by Telephone):** Yes, and a

1 further explanation of how the averages were
2 calculated.

3 **MR. GLECKLER:** Okay, that's the average --

4 **MR. PHILLIPS (by Telephone):** What the
5 uncertainty of those might be given the high
6 variability of the MDCs.

7 **MR. GLECKLER:** How the averages, the average
8 values that are presented in the TBD. Is that
9 what you're talking about?

10 **MR. PHILLIPS (by Telephone):** That's
11 correct.

12 **DR. MAURO:** When I read this and spoke with
13 Chick about it the thought I had in mind is I
14 envision you have a worker that was sampled
15 for bioassay plutonium. You see these below
16 the MDC, and the question then becomes, you
17 know, you're going to assign something to him
18 because he was monitored, but a zero is
19 reported.

20 And given the five-fold difference
21 between, I guess, the range of the MDCs I
22 guess are pretty variable. Is it a person-
23 specific MDC? Or is it one -- in other words,
24 how do you, then how do you assign, I guess if
25 you go into one-half the lower limit of

1 detection as your missed dose. If it's a
2 missed dose, you would go one-half the MDC
3 as... If a person was monitored, you come up
4 with zero, what do you assign if there's this
5 kind of uncertainty in the MDCs?

6 **MR. GLECKLER:** It's like all the
7 uncertainties appear to be sample specific.
8 So they're a specific sample for a specific
9 person. They'll have an MDC value. And as
10 far as the calculation goes our standard
11 approach would be to use the LOD-over-two.
12 With it varying it's like that you could still
13 plug them in as half of it, you know, the LOD-
14 over-two value.

15 **DR. MAURO:** And it would be sample -- see,
16 I'm used to seeing that, well, here's the MDC.
17 It's almost universal. And you're saying this
18 would be almost like sample specific.

19 **MR. GLECKLER:** The ones that we receive with
20 the data, it's like they're sample specific.
21 It's what they appear to be because they're so
22 like what's been indicated. They're so
23 variable. And that may change. You'll get
24 like a few, there's only a handful of
25 individuals that will have more than a couple

1 of bioassay samples for plutonium. It's like
2 you'll -- each sample will have a different
3 date typically. It's like and they'll all
4 have different, they report them as MDL
5 values. And so, yeah, they can be pretty
6 dramatic as far as the difference.

7 **DR. MAURO:** Chick, does that, I mean, the
8 fact that each person would have his, every
9 bioassay sample collected and analyzed for
10 plutonium would have its unique minimum
11 detectable level for that sample and that
12 analysis. That's what I'm hearing. And as a
13 result that would be known when the dose
14 reconstruction's being done. And if the
15 person came back with less than the MDL, am I
16 correct, your protocol would be to assign one-
17 half whatever the person's specific MDL or
18 analysis specific MDL was and that would be
19 assigned to that person?

20 **MR. GLECKLER:** Correct. And the simplifying
21 approach that would typically be used on a
22 dose reconstruction is like if you have
23 multiple MDLs and one's higher than all the
24 others, we would use the highest one and use
25 it across the board, that value as a claimant

1 favorable approach rather than trying to
2 account for all the different --

3 **DR. NETON:** But what I'm hearing here is we
4 don't have what I call censored data for
5 Pinellas workers. We have the MDC values. If
6 the value was below detection limit, they
7 reported the detection limit for the
8 individual samples.

9 **MR. GLECKLER:** We've got a combination.
10 It's like they did report a number of zeros
11 for it looks like a finite period of time, but
12 they give the MDL values it seems for
13 everything but the very early data from like
14 the mid-'70s when that project started to
15 about 1980s timeframe. I know at least for
16 1982 and beyond we've got MDL data from
17 virtually everything.

18 And then there's a period of time
19 where they, I believe, they report negative
20 values rather than the censored data of zero.
21 It's like in all cases from like, at least
22 from 1982 and beyond from what I can tell,
23 it's like we get the MDL value provided for
24 that specific sample.

25 **DR. MAURO:** That's from '82 and before?

1 **MR. GLECKLER:** Yeah.

2 **DR. MAURO:** And so throughout the history
3 you have that kind of information, that level
4 of detail, for the places where bioassay was
5 done?

6 **MR. GLECKLER:** Yeah. And one thing to also
7 be aware of, too, is they pretty much did a,
8 from what I can tell, it looks like they did a
9 baseline on virtually everyone before they
10 went in to working it in the RTG areas. And
11 it's like and so even though we don't have a
12 MDL value, we essentially have a background
13 value for those individuals because typically
14 those 1970s data that they have is like only
15 in d per m.

16 And then they also give the sample
17 volume so we couldn't convert it to d per m
18 per unit volume. But we don't get any MDL
19 information with that. But we have that
20 baseline sample measurement. It's like and
21 that can be used as a background. In a lot of
22 cases that baseline looks like it's higher
23 than the subsequent samples.

24 **DR. MAURO:** So you have baseline numbers.
25 Are we talking Polonium-239 or -238?

1 **MR. GLECKLER:** Most cases both. I'm trying
2 to think if the '70s data might be just 38 I
3 think. It might, I think, I can't remember if
4 it's both or from 1982 timeframe I know and
5 beyond it's like you get both, U-239 and -238.

6 **DR. MAURO:** Chick, correct me if I'm wrong.
7 My understanding is that in many, many cases
8 in these records you find zeros for the
9 results. And I'm hearing a couple things.
10 One is when that occurs you have two pieces of
11 information. One is you have a baseline
12 reading for this person which might actually
13 be a positive reading. I guess I was
14 surprised to hear that. You actually see
15 detectable levels --

16 **MR. GLECKLER:** I don't know if it's
17 technically positive. It's higher than some
18 of the subsequent results in a number of
19 instances.

20 **MR. PHILLIPS (by Telephone):** And the MDL is
21 reported on those as well?

22 **MR. GLECKLER:** That's the problem, we don't
23 have the MDLs for those, but you can make
24 inferences based on that baseline because they
25 weren't exposed when that baseline was

1 provided. And so if they have a subsequent
2 result that's less than that that might be,
3 you know, when you're talking at the levels
4 we're looking at it's like you're going to get
5 a reasonable number of false positives.

6 It's just a matter of how you deal
7 with those false positives and your ability to
8 identify those. It's going to be tricky with
9 looking at that early data.

10 **DR. MAURO:** I have to say I'm a bit --

11 **MR. PHILLIPS (by Telephone):** I guess I
12 would question if you don't have the MDLs on
13 those early values, how do you assign an MDL
14 to those?

15 **MR. GLECKLER:** Typically, there's no intake
16 associated with those from what we've seen.
17 There's a number of those that are reported as
18 zeros, and usually it's like they're, like
19 what I was indicating, a lot of the subsequent
20 results are less than the baseline measurement
21 result.

22 **MR. SCHOFIELD:** Is it known that everybody
23 was actually tested before they started
24 working at RTG? Had a urine sample?

25 **MR. GLECKLER:** As far as --

1 **MR. SCHOFIELD:** (unintelligible)

2 **MR. GLECKLER:** I'm trying to remember. I
3 believe there is documentation on that where
4 most of these bioassays it says how they
5 tagged, they tagged the results. They label
6 them as -- they don't call it a baseline. I
7 think it's preoperational measurement,
8 something along those lines. But they are
9 tagged as that type of a measurement, the
10 data. And it's everyone that has any PU
11 bioassay that I've seen thus far has one of
12 those in there.

13 **MR. PHILLIPS (by Telephone):** I guess I
14 still don't see the utility of that if you
15 don't have the MDCs on those values. Just
16 because it says zero --

17 **MR. GLECKLER:** Yeah, but what if you use --

18 **MR. PHILLIPS (by Telephone):** -- zero, I
19 mean it means it might be below some MDC
20 value. But if you don't have that MDC value,
21 then I'm not sure how much use that data is in
22 determining the baseline.

23 **MR. GLECKLER:** But you should be allowed to
24 use the baseline as a background sample and
25 subtract that from the other results. Then

1 ultimately you get typically a lot of
2 negatives and zeros.

3 **DR. NETON:** Well, I think we need to go back
4 and rewrite this up because I think there's
5 confusion here, and give you an example how we
6 would do that.

7 **MR. PHILLIPS (by Telephone):** I think that's
8 it. I think that in the site profile it needs
9 to be clarified how those MDCs were handled.

10 **DR. NETON:** I agree.

11 **MR. CLAWSON:** So NIOSH will provide to us
12 and SC&A a sample of how it was done?

13 **DR. NETON:** Description of how we're using
14 the averages or not using them and an example
15 of how we would do that for someone who had a
16 value that was reported as zero. How would we
17 do that.

18 **DR. MAURO:** And especially considering the
19 variability in the MDCs depending -- there's a
20 five-fold variability. I don't know how
21 important that is in terms of dose, but it's
22 my experience that once you start to see
23 Plutonium-239, you had a fairly good intake,
24 you know, if it's Type-S. It takes a pretty
25 good intake to see some in the urine. And if

1 the uncertainty in the MDL is a factor of
2 five, that further increases --

3 **DR. NETON:** It's all dependent on the
4 chemical recovery because I can make a --
5 having done radiochemistry with plutonium in
6 urine I can tell you a factor of five is not
7 unusual to get in your yields if you're
8 especially inexperienced with this. But we
9 need to look at that.

10 **DR. MAURO:** Just in terms of the level of
11 importance, so let's say we have a person that
12 we know was sampled, urine sampled, and
13 routinely or whatever or periodically for
14 plutonium analysis. And you repeatedly come
15 up with a less than detectable level. Are we
16 talking about, I guess we're talking about a
17 lung dose or a bone dose or one of the organs
18 that plutonium might find its way in or even a
19 thoracic lymphoma. There you go. My question
20 is, are we talking about doses that are
21 relatively miniscule, or are we talking about
22 doses that are not insignificant? I don't
23 have a feel for it.

24 **DR. NETON:** It could be very high. Missed
25 dose for plutonium in the lung area is --

1 **DR. MAURO:** Could be very high.

2 **DR. NETON:** -- very high.

3 **DR. MAURO:** So this issue is not
4 insignificant.

5 **DR. NETON:** No, it's not an insignificant
6 issue. I agree.

7 **MR. CLAWSON:** Just to clarify for me, how
8 many samples do we actually have for the
9 Pinellas for plutonium? I see the radiation
10 ones, but what do we actually have number-
11 wise?

12 **MR. GLECKLER:** Oh, I don't know that
13 offhand. The relatively small population of
14 the workforce that worked in the RTG areas,
15 and from what I can tell it's like all of them
16 that worked in those areas at least as a
17 minimum had a baseline before they were
18 allowed to be assigned to that area.

19 **MR. CLAWSON:** And we're talking a range of
20 workers, maintenance, operations personnel, so
21 forth or just the operational end of it?

22 **MR. GLECKLER:** Let me think, it's like I'm
23 trying to remember. It's like they've got
24 criteria for the external dosimetry. I don't
25 remember seeing anything in there on how they

1 handled the internal. I believe there's
2 documentation on who they monitored or
3 determined who they monitored. It's like,
4 well, I'd have to look into that.

5 **MR. SCHOFIELD:** We need that kind of
6 documentation because I've worked around these
7 RTGs myself. We had people who worked with it
8 were monitored. So we had crafts who came
9 through the area that weren't monitored for
10 the same things.

11 And so there needs to be that
12 distinction of how whether all personnel who
13 came and worked in that area whether they were
14 temporary, whether they were craft or whatever
15 their job classification was, were they
16 monitored for this? Did they have baseline?

17 **DR. NETON:** I think some of that goes back
18 to issue number one which is who was monitored
19 and why and under what criteria. That's what
20 ties I think, Phil, into that issue. Between
21 that and then the analysis is probably what we
22 have.

23 **MR. CLAWSON:** Just a question for SC&A
24 before we get too far into it and stuff like
25 that, the things that were brought up in other

1 site profiles and so forth and that's data
2 integrity and so forth. I'm sure that we're
3 looking into that.

4 **DR. MAURO:** I would say that I guess --
5 Chick, help clarify.

6 We didn't do any what I would call
7 data integrity analysis, the kinds of things
8 we're doing right now for some of the other
9 sites where we go back to the original
10 records, maybe even some logbooks and the
11 electronic data. Is this data in an
12 electronic form? In other words are we
13 dealing with a dataset that's hard copy for
14 each worker and you just go in and you do the
15 dose reconstruction? Or is there actually a
16 separate electronic dataset the way we've
17 seen, for example, at larger sites?

18 **MR. GLECKLER:** Both. Yeah, we've got
19 datasets in the SRDV, and we've also got
20 datasets that the DOE provides, you know, part
21 of their response to our request for records.

22 **MS. THOMAS:** But there's no database that
23 we've received. It's all individual reporting
24 on their personal exposure. Is that correct?
25 I think that's what he's asking.

1 **MR. GLECKLER:** I'm not familiar with what's
2 meant by --

3 **MS. THOMAS:** You know, like for Hanford,
4 SRS, we've received an electronic database. I
5 don't think we have that in this case.

6 **MR. GLECKLER:** Yeah, as far as I know, no,
7 we haven't received anything like that.

8 **DR. MAURO:** So these were all like worker
9 records. Basically, all the claimants come in
10 and your worker records, and there's your
11 dataset. Some of them have bioassay data.
12 Some of them don't. And on a case-by-case
13 basis you reconstruct the doses based on that
14 data.

15 **MR. GLECKLER:** Correct.

16 **DR. MAURO:** As opposed to, let's say, a site
17 where they've taken all of that data and put
18 it into an electronic file that can sort. You
19 don't have that.

20 **MR. GLECKLER:** No, that has not been done
21 for Pinellas.

22 **MR. PHILLIPS (by Telephone):** John, to
23 answer your question, we have not gone to that
24 level of data verification.

25 **MR. CLAWSON:** Well, as lessons learned from

1 many of these other sites I believe that is
2 something we need to check into a little bit
3 further, just the data integrity and so forth.

4 **DR. MAURO:** Can we talk a little bit about
5 how that would be done in a situation like
6 this? In other words what we have -- how many
7 claimants do we have here? Anybody have a
8 feel for it?

9 **MR. DARNELL:** Three hundred sixty-five.

10 **DR. MAURO:** Three-sixty-five. Now a data
11 integrity investigation for the 365, typically
12 what -- let me sort of set the, what we've
13 done in the past when we have thousands of
14 workers. And let's say there's a limited
15 amount of bioassay data. A good example would
16 be what we're doing right now on Nevada Test
17 Site. I'm trying to draw an analogy of what
18 we might do here or might not do.

19 What happens is we say, okay -- for
20 example, at the Nevada Test Site we have 1,500
21 claimants. NIOSH selected 100 of the
22 claimants that had the highest external
23 exposures with the assumption that in general
24 people with the highest external exposures
25 probably also had the highest internal

1 exposures. That's an issue that we're looking
2 at. But that, you know, for better or worse
3 let's go with that for a minute.

4 And then what happens is then you go
5 and you say, all right, we go in and we look
6 at the bioassay data for those workers that
7 have been selected by NIOSH to be the ones
8 that we're going to use as our coworker model.
9 In other words we have bioassay data on some
10 subset of these 100 workers -- stay with me.

11 And then the intent that NIOSH is
12 using is that from there we could build a
13 coworker model where we get an understanding
14 of the distribution of tritium intake, the
15 distribution of iodine intake, the
16 distribution of polonium intake from some
17 subset. And theoretically you could pick off
18 the upper end of that 95th percentile or some
19 value and say, okay, we're going to assign
20 this upper end intake to all workers that
21 weren't monitored and perhaps should have been
22 monitored at the Nevada Test Site. So they're
23 sort of like the model of how you build a
24 coworker model.

25 Now one of the things we've been asked

1 to do -- because you want to do things the
2 same way -- one of the things we've been asked
3 to do, well, there are a lot of different --
4 when that group of 100 was selected as the
5 body of workers that are going to represent
6 the source of your data for a coworker model,
7 one of the questions that came up around the
8 table of the work group was, well, how do we
9 know that you've captured all the different
10 categories of workers, all the time periods,
11 all of the different workers' settings that
12 the workers -- in this case a large number of
13 workers at NTS -- might have experienced.

14 So what we're doing is we're going in
15 and going back into the 1,500 cases and
16 developing a sampling plan which in effect
17 would say, okay, let's sample from all tunnel
18 workers. Let's sample from all carpenters,
19 all welders and do a cut at the same 1,500
20 dataset but come at it differently than the
21 way NIOSH did. And then we're looking at the
22 data that comes out of that.

23 I'm telling this story because I'm
24 trying to make sure that we do everything the
25 same way. And what we're doing is say, all

1 right, now when we look at the distribution of
2 intakes that we get from these other samples,
3 do they ring true with the distribution of the
4 intakes that you get out of the dataset that
5 NIOSH selected as the basis for their coworker
6 model.

7 And the test we're really saying is,
8 one, that if it looks like that set of 100 and
9 the distribution intakes for those workers, is
10 bounding or comparable to all the other
11 different cuts that we're making at it, we
12 could walk away and say, yeah, I think that
13 it's a pretty robust approach. Because even
14 when we look at different categories of
15 workers, we still see that the set of 100 is
16 bounding. Or we may find out surprise,
17 surprise, some group of the 1,500 has a
18 distribution that's ten times higher, in the
19 high end, of the set of 100. And then you
20 start to say, oh, oh, we've got a problem.

21 Now, given that model how do we, in
22 order to, you know, to try to be responsive to
23 Brad's request, how do we transfer that sort
24 of philosophy to this particular facility?

25 **MR. ELLIOTT:** I think you have to understand

1 this facility first.

2 **DR. MAURO:** Yes, right, right.

3 **MR. ELLIOTT:** This is not an NTS facility.

4 **DR. MAURO:** I understand.

5 **MR. ELLIOTT:** I consider this to be -- and
6 people are not going to like this when I say
7 it, but this is a low dose facility. The work
8 that they were performing is not a dirty type
9 of operation. I think Pete has shared with
10 the working group members the summary of
11 external, this monitoring that was done. You
12 can see that only about a third, or less than
13 a third, of the workers were monitored because
14 of the monitoring requirements at the time.
15 And I hear we don't have an electronic
16 database that we can utilize to come up with
17 the universe of dose records for this site.

18 **MR. GLECKLER:** We can almost.

19 **MR. ELLIOTT:** We can almost?

20 **MR. GLECKLER:** Yeah, it's like in the site
21 research database some of those records
22 include all of the plant's records --

23 **MR. ELLIOTT:** We must have something because
24 we can come up with this from the annual
25 reports.

1 **MR. GLECKLER:** Yeah, because one of the
2 things we've come up with is unmonitored dose
3 assignments for external doses and internal
4 doses. And there's quite a bit, it's like a
5 whole body dose which also includes tritium
6 dose factored into it. That's the most
7 uniform dataset that they have, and we've got
8 that for quite a few years, and that would
9 develop --

10 **MR. DARNELL:** You have to realize that that
11 unmonitored dose is based on the monitored
12 workforce which is a very small subset of all
13 the workers at Pinellas, and it's biased very
14 high. Of the workers that were monitored
15 better than 95 percent of them received less
16 than 100 millirem in a year. We had some
17 cases where you got to 500 and some cases
18 where you got up to a rem and a half. But
19 most of them it would be very low.

20 **MR. ELLIOTT:** Pete, you have to speak up.
21 I'm having trouble hearing you.

22 **MR. DARNELL:** I have a hearing loss so I
23 don't know how loud I talk.

24 The other problem with a site like
25 Pinellas is a lot of the operations were

1 either on or off. You didn't have a site
2 population walking around getting exposed to
3 an operation ongoing all the time. When they
4 were doing the testing, the neutron generators
5 were either operating or they were put away
6 and not being worked on.

7 **MR. ELLIOTT:** So it's campaign driven.

8 **MR. DARNELL:** Yes, and you can see that in
9 the dose records. It's very spotty. You have
10 a ten, 12 millirem exposure one month. A year
11 and a half later you have your next exposure.
12 And you'll see that through a lot of the dose
13 records that we have.

14 **MR. ELLIOTT:** I agree with, you know, how do
15 we come up with this is the question.

16 **DR. MAURO:** In fact, I'm looking for help.
17 In other words I think all of these sites
18 require some degree of data adequacy and data
19 completeness evaluation. And maybe every site
20 you have to design something that works for
21 that site. I'm not quite sure what that is
22 here.

23 **MR. CLAWSON:** Every site is going to be
24 different. We found out the differences from
25 Rocky to Hanford to whatever. But I guess

1 this is -- I agree with you, this is what
2 we've kind of come to look into. Because if
3 you looked at the claimants and some of the
4 comments that were made to us in Florida and
5 so forth like this, this was one of the
6 questions that came up on this, and we need to
7 make sure that we've addressed it. And I
8 guess it'd fall down to SC&A and between NIOSH
9 and SC&A --

10 (Whereupon, musical interruption played
11 telephonically.)

12 **DR. BRANCHE:** Excuse me. Telephone
13 participants, please do not put us on hold.
14 (Whereupon, music continued.)

15 **MR. ELLIOTT:** And of course that person
16 that's on hold, can't hear us.

17 **DR. BRANCHE:** Right, I need to go and have
18 the telephone operator cut that person on
19 hold.

20 (Music stopped.)

21 **DR. MAURO:** Am I correct? What I heard is
22 that perhaps NIOSH and SC&A could collaborate
23 a little bit, come up with a plan that works
24 for this that may make sense? Maybe nothing
25 elaborate.

1 **MR. ELLIOTT:** Brad's absolutely right. We
2 need to answer these folks' concerns about
3 this.

4 **MR. CLAWSON:** And I understand what you're
5 saying about this site because this site is
6 unique in its make up and how it was run and
7 so forth. So this is why I believe between
8 SC&A and NIOSH/ORAU that we need to come up
9 with a way to be able to do this. And I guess
10 this falls down to...

11 **DR. NETON:** A lot of what we're discussing
12 here is covering this. I mean, finding number
13 one, which is data adequacy, did we, were the
14 workers who were exposed monitored properly
15 based on a review of their procedures and
16 their health physics plans and such. You
17 drill down through all that and then
18 eventually you get down to the bioassay
19 records themselves.

20 If you can say, well, the right
21 workers were monitored, then are the data that
22 you have accurate? You know, did the bioassay
23 laboratory or program that took these samples,
24 were they capable of measuring? We've
25 experienced a lot of EML samples where we sort

1 of decided that's sort of the gold standard
2 and AEC operations are in that time period.
3 But was this in a laboratory they used, for
4 example, like CEP that had some issues that we
5 had to discount some of those samples?

6 So I think that's sort of drill down
7 through it, I think it's premature maybe until
8 we dissect some of these other findings and
9 figure out -- now if we can say that they
10 didn't monitor the right people or come to
11 that conclusion, then there's no sense to
12 start drilling down any further. We don't
13 have sufficient data to begin with.

14 **MR. SCHOFIELD:** I think the biggest concern
15 here is not so much the external exposures as
16 it is the question of the internal exposures
17 and were they properly monitored --

18 **DR. NETON:** Exactly, and I think it's been -
19 -

20 **MR. SCHOFIELD:** -- the procedures that were
21 used for this, you know.

22 **DR. NETON:** It's been our position that
23 internal exposures are fairly few and far
24 between, if that, at this site because of the
25 nature of the operations, and we need to do a

1 better job, I think, explaining that.

2 **DR. MAURO:** One other problem then that this
3 is a site that's very classified. We're
4 talking about one of the more sensitive sites.
5 We're going to do some of this diving.

6 **DR. BRANCHE:** Hang up or what?

7 **MR. ELLIOTT:** Christine, we can hear you.

8 **DR. BRANCHE:** Oh, sorry. Actually, I'd like
9 to tell the people who are participating by
10 phone to please not -- you can mute your line,
11 but please don't put us on hold. That only
12 gives us music which interferes with
13 everyone's hearing. Thank you.

14 **MR. DARNELL:** One of the issues Brad was
15 talking about, worker concern over monitoring,
16 we've had several mini-outreaches with the
17 workers in Florida who were actually getting
18 ready to go back to provide a more technical
19 explanation, not only of how we do a dose
20 reconstruction for them, but how they were
21 monitored.

22 There's a very large misunderstanding
23 with the workers down there. They feel that
24 when they were working around the tritium
25 process, they should have had a monitoring

1 badge to measure external exposure. And their
2 thought is we weren't properly monitored
3 because we didn't wear a monitoring badge.
4 Relying on the workforce for a heavy amount of
5 concern is, you know, as always we should
6 listen, but we should also understand their
7 weaknesses in knowledge.

8 And I'm not casting dispersions at the
9 workforce. It's just that I don't believe
10 that Pinellas itself did a good job explaining
11 to the workers what the hazards were that they
12 were working around. In all reality for this
13 site the vast majority of the workers probably
14 never came into contact with, and never really
15 worked around the radioactive materials that
16 were at the site.

17 There was a lot of chemical exposure.
18 There was a lot of other industrial hazards,
19 but as far as actually working, putting your
20 hands in and on the radioactive materials, it
21 wasn't done. A small workforce like Brian
22 explained with the RTGs, a small workforce
23 that worked with doing the testing in the
24 neutron generators. However, with that
25 workforce there were a lot of tours. That was

1 the main thing that the site did, and even
2 while testing was going on they gave tours.

3 **DR. MAURO:** Let's talk a little bit about
4 the neutron generators. I guess that's a
5 Plutonium-238 problem? Is that what these,
6 not neutron generators. I was thinking the
7 radio. So we have basically -- am I correct?
8 We have Plutonium-238 because of these
9 thermoelectric generators that were produced
10 here. And then we have the tritides and
11 tritium problem associated with these neutron
12 sources, these triggers.

13 **MR. DARNELL:** Generators.

14 **DR. MAURO:** And then I noticed from reading
15 the site profile and the material that there
16 are a few other places where, I guess,
17 Plutonium-239 comes into the picture, which I
18 don't know why. But in other words we have a
19 number of different isotopes, even Carbon-14
20 was mentioned for some reason.

21 **MR. DARNELL:** Used as research. There was
22 research for a short period of time. But most
23 of the plutonium was triple encapsulated,
24 sealed sources. The Nickel-63 was sealed
25 sources.

1 **DR. MAURO:** Were these ceramic sources like
2 they used for --

3 **MR. DARNELL:** No.

4 **DR. MAURO:** -- these weren't these little --
5 okay.

6 **MR. GLECKLER:** Shards.

7 **MR. PRESLEY:** Let's don't get into any of
8 this, okay?

9 **DR. MAURO:** Okay, okay. Yeah, I'm asking
10 questions that as a novice I don't know the
11 answers. But I'm thinking about myself as
12 trying to be responsive to concerns raised by
13 concerned individuals that were they
14 adequately monitored. Is the data complete?
15 Can you build a -- I did hear that not
16 everyone that was monitored -- there will be
17 people where you're going to have to assign
18 some dose to because maybe they weren't
19 monitored but they should have been. I assume
20 that was -- there are some workers where
21 you're going to assign some intake even though
22 they weren't monitored for, let's say,
23 tritium, or for Plutonium-238.

24 **MR. DARNELL:** Actually, with a lot of the
25 dose reconstructions we haven't made that fine

1 of a decision. Most of the time the workers
2 are assigned an external dose and assigned the
3 internal dose simply because they're workers.
4 Unless the dose reconstruction gets close to
5 the 50 percent probability of causation,
6 they're really basically capers loading a
7 bunch of dose on a worker.

8 Anything to add to that?

9 **MR. GLECKLER:** As far as how we deal with
10 that is typically the vast majority of the
11 cases like the work groups, we take a claimant
12 favorable approach with them. And there is a
13 large number of the workforce that was not
14 monitored. And you can often tell from like
15 their CATI, or the telephone interview
16 information, that, yeah, they didn't have
17 anything to do with the radiological side of
18 the house.

19 But we typically still assign them
20 claimant, we have a 95th percentile unmonitored
21 external dose assignment that we use. And I
22 forget, but the 99th or 95th percentile tritium
23 dose that we assign.

24 **DR. MAURO:** That's where I'm headed. Once
25 you move into the realm where you do have to

1 build a coworker model, in effect, that's what
2 you're doing. And once you move into that
3 realm, that's where the vulnerabilities lie.
4 And that's where questions regarding data
5 adequacy is.

6 In a paper like this. If you're going
7 to pick a worker, and you say, listen, here's
8 a person we want to assign a tritium intake to
9 or a tritide intake or a plutonium intake.
10 We're going to draw upon a dataset that we do
11 have data for and somehow use that to build a
12 coworker model for that worker or for other
13 workers.

14 We continually run into the question
15 is, is the dataset that you're working from
16 adequate, complete, sufficient to build a
17 coworker model that you feel confident when
18 assigned to that worker, it's going to be
19 claimant favorable. And the questions that
20 always come up is, is the data set you're
21 working with, does it capture the full range
22 of people that might have been exposed and
23 that you did catch the upper end. That is, is
24 it possible that there are some workers that
25 had high exposures that are not in that

1 population, and therefore, your coworker model
2 has some weaknesses to it.

3 Now, what's very often asked of SC&A
4 is, on behalf of the working group is, is
5 there anything that you can do to go into the
6 data -- and this could be asked of you folks
7 or SC&A, and it's really a reasonable
8 question. How do we convince ourselves, how
9 does the working group convince itself that
10 the dataset that you're working with is a
11 dataset that when you pick off the upper 95th
12 percentile, you have a high level of
13 confidence that, and you assign that to
14 someone else, the upper bound, that you feel
15 confident that, yeah, it's unlikely that he
16 got that much.

17 Because I think in the end that's what
18 the public wants to know. They want that
19 trust. That's what the Board wants to know.
20 That's the working group. And the question is
21 in this instance, what is it that could be
22 done by way of looking at the data that would
23 help convince you, convince us, convince
24 everyone that, yeah, that's a reasonable thing
25 to do.

1 We've just been through this, for
2 example, at Blockson. There's a lot of
3 discussion going on on did the distribution of
4 the data, the sample, was it robust enough,
5 complete enough. And time and time again we
6 run into this. And sometimes it's clear that
7 the data agree and you can do it. And there's
8 sometimes where it's not so clear. And I
9 guess what I'm looking for from the working
10 group and from everyone around the table is
11 what is it that we could be doing to reinforce
12 the coworker model that you guys are about to
13 build or are building to deal with this
14 question.

15 **MR. GLECKLER:** We've been using this
16 coworker based on unmonitored --

17 **DR. NETON:** We're back to issue number one
18 which addresses this, which is how do you know
19 that the doses in the early health physics
20 records capture the right population. If we
21 go back and demonstrate that they had
22 procedures in place -- again, the response to
23 number four. They had procedures in place of
24 who was sampled and why and when and
25 documented that population was the most

1 exposed, that goes a long way. That might not
2 be the end of the --

3 **DR. MAURO:** The way we've been looking at it
4 it's one thing to have words, that is, go back
5 to the protocols, the procedures that were
6 used by the health physics group, we're gonna
7 do this, this and this. But really in the
8 end, where the rubber meets the road, let's go
9 look at the data. And that's what I think
10 Brad's talking about.

11 Let's go look at the data. Does it
12 appear that they did -- is the data there for
13 the people at the high end jobs. And way we
14 usually do this we look at the data that we do
15 have for workers, and we also look at where is
16 it they worked, what they did, maybe there's
17 some air sampling data, maybe there's some
18 process knowledge.

19 And the weight of the evidence starts
20 to build, yes, it looks like the workers that
21 were bioassayed were, in fact, the workers
22 that had the highest exposures as opposed to
23 the words that are said in some plan.

24 **DR. NETON:** It's a start.

25 **MR. DARNELL:** From the monitoring data that

1 we have, we've got in basically a couple of
2 different sections. 'Eighty-three to '93
3 shows the highest worker exposure ever.
4 Annual exposure was about 550 millirem. From
5 '57 to --

6 **DR. MAURO:** Is it external?

7 **MR. DARNELL:** External. Well, actually,
8 total, that's whole body.

9 **DR. MAURO:** Does that include tritium? Did
10 they do the tritium with it?

11 **MR. GLECKLER:** And usually they get one or
12 the other. It's like they usually don't get
13 both. It's like if they have a tritium dose,
14 they usually don't have an external dose and
15 vice versa.

16 **MR. DARNELL:** From '57 to '79 the highest
17 was around 500 millirem. But out of those
18 monitored workers, 95 percent of them had less
19 than 100. And what we've done is use the 100
20 as the 95th percentile. There was only two
21 years where 95 percent or more received less
22 than 100. That was in 1958 and 1960, and
23 respectively was 80 percent and 84 percent.

24 **DR. MAURO:** We've run into, and what we've
25 seen is that very often when you have a nice

1 big dataset, you find out there are thousands
2 of workers that have zeros, and then one
3 percent, five percent have detectable levels.
4 And so therefore, if you start to talk about
5 the 95 percentile value, and you leave all the
6 zeros in, you're sort of, the median, the
7 median is always zero.

8 In other words so if you're going to
9 say I'm going to go with the median, it's
10 always zero because the vast majority of
11 people have zero dose or less than a
12 detectable level. So we're always struggling
13 with well what do you do when you build a
14 coworker model.

15 **MR. DARNELL:** Well, that's what we did here.
16 We biased it high and at --

17 **DR. MAURO:** Only those with a positive.

18 **MR. DARNELL:** Only with a positive dose,
19 used the 95th percentile at 100, and that's
20 what gets assigned.

21 **MR. GLECKLER:** And that's the only
22 assignment so that goes for compensable and
23 non-compensable cases. So we don't have, we
24 don't use a 50th percentile-type dose.

25 **DR. MAURO:** That's the external.

1 **MR. GLECKLER:** Yeah, for the external.

2 **DR. MAURO:** So the fundamental theme is you,
3 of all the worker right now, the worker regs
4 right now this is by year or did you roll up
5 all years?

6 **MR. GLECKLER:** Well, the 100 millirem is
7 based on our valuation of virtually all years.
8 There's a couple years that are, there's holes
9 in those data slots. We weren't able to --

10 **DR. MAURO:** So you pooled everything from in
11 the '50s right out to --

12 **MR. GLECKLER:** Yeah, we got stuff starting
13 in '58 up through '95.

14 **DR. MAURO:** And out of that some subset had
15 positive readings, I guess is a...

16 **MR. GLECKLER:** Well, basically the approach
17 that we took with, it kind of the way it
18 evolved just like, it sort of evolved versus
19 the normal dose for coworker study-type
20 approach that we take to where it kind of
21 progressed to like a dose, an unmonitored dose
22 assignment of 500 and 550. We're using two
23 different values at one point in time. It's
24 like which represented one of the highest
25 annual doses that you would see for any given,

1 more of a 99 percentile-type dose. And we
2 needed to ratchet that down because it was way
3 too claimant favorable. It allowed us to
4 process a large number of cases, but then we
5 had a large number of cases, well, that would
6 put him over, close to the 50 percent range or
7 even over the percent --

8 **MR. ELLIOTT:** It's unreasonable.

9 **MR. GLECKLER:** Yeah, and we can't use such a
10 claimant favorable approach on that type of a
11 case.

12 **DR. MAURO:** Would the rationale for that not
13 be -- in other words what you're saying so you
14 have a subset of workers that do have positive
15 readings. You pluck off the upper 95th
16 percentile from that pooled data, and you get
17 doses on the order of 500 millirem a year.

18 **MR. GLECKLER:** The 100 millirem --

19 **MR. DARNELL:** The upper 99th percentile.

20 **DR. MAURO:** Okay, the upper 99th percentile
21 you come up, and then to say that anyone who
22 wasn't monitored got -- I guess who wasn't
23 monitored, not the zeros. The zeros use one-
24 half the MDL for the change out period. But
25 for the people who weren't monitored you're

1 saying that -- and I understand this -- to
2 assign that dose to people who weren't even
3 badged seemed to be somewhat absurd,
4 especially since the reason they weren't
5 badged is because you didn't expect them to
6 have any dose.

7 **MR. DARNELL:** Yeah, through a lot of the
8 history at Pinellas, they assigned external
9 dosimetry based on whether or not you were
10 going to hit ten percent of the limit of the
11 day.

12 **DR. MAURO:** And I tell you, the argument
13 that you just made together with the answer to
14 question number four, you know, it's a pretty
15 solid argument.

16 **MR. DARNELL:** This is in the TBD, the
17 summarized data and assigning the 100 millirem
18 as the 95th percentile. I forget which section
19 exactly.

20 **MR. SCHOFIELD:** I have just one quick
21 question going back to action number three.
22 And my understanding was that they actually
23 were manufacturing the RTGs there.

24 **MR. GLECKLER:** Correct.

25 **MR. SCHOFIELD:** That would make a difference

1 for internal dose.

2 **MR. GLECKLER:** Not necessarily. The sources
3 were triple encapsulated. They weren't put in
4 the --

5 **MR. SCHOFIELD:** ^.

6 **MR. GLECKLER:** -- they received the sources
7 as a triple encapsulated source.

8 **MR. SCHOFIELD:** Oh, they received them as
9 already encapsulated?

10 **MR. GLECKLER:** Yes.

11 **MR. DARNELL:** Most of your plutonium
12 exposure there would be to contaminants on the
13 outside of the source itself.

14 **MR. SCHOFIELD:** That makes --

15 **MR. GLECKLER:** That would be their only
16 sources.

17 **MR. DARNELL:** I think the limit upon receipt
18 was a 200 dpm limit or it had to be sent back.
19 Nothing ever had to be sent back. And I don't
20 remember seeing data more than at the most 20
21 dpm contamination.

22 **MR. GLECKLER:** And then only that would be
23 discovered upon the receipt inspection that
24 they would perform. That was under hood
25 conditions, and the sources were deconned at

1 that point while in a hood. So it's like
2 exposure potentials were going to be next to
3 nil. It's like it should be nothing after
4 that step in the process, after they're
5 deconned.

6 **MR. DARNELL:** The internal exposure.

7 **MR. GLECKLER:** Correct, yeah, the internal.

8 **MR. CLAWSON:** You know, something came up.
9 Bob brought up something a little earlier.
10 How much of this stuff's classified?

11 **MR. DARNELL:** Nothing that I've said is
12 classified.

13 **MR. CLAWSON:** Well, I know you haven't, but
14 with DOE's little comment that come out, were
15 they dealing with classified information and
16 the process there that we need to be aware of
17 because we've talked of some other articles
18 and so forth that I know were classified at
19 other facilities, and I just want to make sure
20 that --

21 **MR. ELLIOTT:** The activities at Pinellas had
22 some sensitivity about them, and we can't go
23 into great detail here in any regards --

24 **MR. PRESLEY:** Yes, they did have some
25 sensitivity and let's stop it right there.

1 **MR. CLAWSON:** Well, yeah, but, Bob, we also
2 need to know what --

3 **MR. SCHOFIELD:** We don't want to cross that
4 line, Bob.

5 **MR. CLAWSON:** We don't want to cross that
6 line. If we don't know where the line's at,
7 we're not going to know if it went across it
8 or not. So I guess that's one of the
9 questions that I have. And are we going to
10 have any issues with some of this
11 documentation being classified?

12 **MR. ELLIOTT:** Well, I don't believe that
13 we've used any documentation that is Q
14 restricted information or data.

15 **MR. DARNELL:** We actually haven't seen any -
16 -

17 **MR. CLAWSON:** That's why I was questioning.
18 Because I haven't seen anything and in
19 reviewing the site profile and so forth like
20 that there were some, I never got a clear
21 feeling of that, of what was, I didn't get any
22 feeling that there was any classification
23 issue. But I want to make sure that I'm right
24 on that.

25 **MR. ELLIOTT:** Well, the only way that I feel

1 that the working group or the Board members
2 can be assured that our site profile is a
3 sufficiently accurate approach to dose
4 reconstruction in this regard would be you
5 would have to send your Q cleared members
6 along with maybe SC&A's Q cleared staff and
7 our Q cleared staff to go look at those items
8 and satisfy yourselves that there's nothing
9 there that would influence the ability to
10 reconstruct dose with sufficient accuracy
11 here. That's the only thing, the only step
12 you can take.

13 **MR. DARNELL:** The other thing you need to
14 remember though is that the radiation
15 producing activities at the site weren't part
16 of the stuff that's classified. And the X-ray
17 machines, the tritium wasn't part of the
18 classified process.

19 **MR. SCHOFIELD:** Why don't we take a short
20 break here so we can discuss this stuff off
21 whether or not --

22 **MR. PRESLEY:** We can't do that --

23 **MR. ELLIOTT:** Can't do that.

24 **MR. PRESLEY:** We need to take a short break.

25 **MR. SCHOFIELD:** Take a short comfort break

1 here then.

2 **MR. ELLIOTT:** But I think Pete's last
3 statement is very critical for the record.

4 **MR. CLAWSON:** And that's what I was not
5 understanding because when I reviewed the site
6 profile and so forth like that, I didn't see
7 any classification issues. But then we
8 started talking something and Bob deals with
9 this quite a bit, and I wanted to just make
10 sure that we don't step over a line that we
11 don't know is there. And that's all I wanted
12 to make sure.

13 **MR. DARNELL:** Well, most of what I learned
14 about RTGs I got off the internet because I
15 didn't know a lot about it until I looked at
16 the site. If it's classified, then the
17 internet's got some stuff --

18 **MR. GLECKLER:** The same is true for neutron
19 generators. You can actually buy them
20 nowadays. Maybe not as small as the units
21 that they had for the weapons, but definitely
22 tabletop size, desktop size.

23 **DR. MAURO:** Using metal tritides?

24 **MR. GLECKLER:** They would have to use a
25 similar method. The metal tritides were only

1 the storage mechanism for the tritium, to hold
2 and bind the tritium inside the glass vacuum
3 tubes.

4 **MR. ELLIOTT:** I think we've gone far enough
5 on this. But I mean, if there's a need to be
6 satisfied, the working group would have to
7 send their Q cleared folks. We don't need to
8 go any further.

9 **DR. BRANCHE:** Mr. Schofield has asked for a
10 ten-minute break. We're going to mute the
11 line until 10:41 when we reconvene.

12 (Whereupon, the working group meeting took a
13 break from 10:31 a.m. until 10:45 a.m.)

14 **DR. BRANCHE:** This is Dr. Christine Branche.
15 We are restarting the Pinellas working group
16 meeting. If someone who's participating by
17 phone can please let me know that you can hear
18 me, I would appreciate it.

19 **UNIDENTIFIED SPEAKER (by Telephone):** I can
20 hear you.

21 **DR. BRANCHE:** Thank you.

22 I'm going to ask one more time that
23 everyone participating by phone, mute your
24 line. If you do not have a mute button, then
25 please use star six. A new piece of

1 experience here encourages me to say the
2 following: please don't put us on hold. If
3 you need to get off the line, then do so. But
4 putting us on hold subjects all listeners by
5 phone to whatever music or beeps or whatever
6 is going on with your hold system. So please
7 don't put us on hold. Remember, you are part
8 of a community of people participating in this
9 meeting by phone. Thank you so much.

10 Mr. Schofield.

11 **MR. SCHOFIELD:** I guess we're going to start
12 in here. Does anybody else have any comments
13 on issue number four?

14 **DR. MAURO:** Three.

15 **MR. SCHOFIELD:** Three. I can't count that
16 high. So we're going to be trying to complete
17 issue number three here.

18 **DR. BRANCHE:** And what issues do you think
19 are outstanding?

20 **MR. SCHOFIELD:** I think we've got -- does
21 anybody else have anything left on three?

22 **DR. MAURO:** The only residue that I think it
23 might be a good idea to close up now so we can
24 move on to four is the question that Brad
25 raised, namely data validation for the purpose

1 of building coworker models. And I think
2 Jim's suggestion is the logical sequence.
3 Namely, let's first do one. Let's go back,
4 check out the other sources of data, make sure
5 that we're as complete as possible in having a
6 dataset. Because in the end, the way I see
7 it, and now I've just been informed that there
8 is an SEC petition pending or undergoing
9 review --

10 **MR. DARNELL:** It's made it through --

11 **MR. ELLIOTT:** It's qualified.

12 **DR. MAURO:** It's qualified, okay.

13 **MR. ELLIOTT:** Didn't it qualify?

14 **MR. DARNELL:** They just sent back the letter
15 of clarification.

16 **MR. ELLIOTT:** Oh, okay. So it's going
17 through consultation.

18 **MR. DARNELL:** It's going through
19 consultation. Thank you.

20 **DR. MAURO:** And I would say that as we've
21 seen before, the completeness and adequacy and
22 reliability of the dataset, notwithstanding
23 the good intentions of the plans and the
24 programs, the dataset itself, if you have a
25 certain number of claimants, and as you have

1 pointed out, only a small fraction may have
2 been bioassayed for various isotopes, and then
3 the question becomes building a coworker
4 model.

5 So where I'm going with this is I
6 think that first step in just making sure we
7 have all the data we can get our hands on is
8 important. And I think NIOSH agreed to check
9 that out to see what they can do.

10 **MR. DARNELL:** We're going to evaluate the
11 need to go back --

12 **MR. PRESLEY:** May I ask a question? Has
13 anybody, do we have any type of data that said
14 where these people, where they worked or what
15 areas they worked in or anything during the
16 timeframe to go along with the dose data?

17 **MR. DARNELL:** Yes, we know what buildings
18 the different processes --

19 **MR. PRESLEY:** I didn't catch that if y'all
20 talked about it a minute ago.

21 **MR. DARNELL:** I don't know if it ever came
22 up, but --

23 **MR. ELLIOTT:** Is there more than one
24 building?

25 **MR. DARNELL:** Yes. There's quite a number

1 of buildings but the radioactive processes
2 were pretty much in set places. I just don't
3 remember the building numbers off the top of
4 my head.

5 **DR. MAURO:** To complete my thought then,
6 that once that question one exploratory work
7 is done then I think then the question
8 becomes, okay, what is it that would make
9 sense to look at the dataset that you're
10 working with from the perspective of its
11 adequacy and its completeness to build a
12 coworker model? Something that I think is
13 going to be essential to not only the site
14 profile but eventually this SEC petition.

15 Now, it turns out what I'm hearing is
16 that may very well have to be a discussion
17 that's held amongst people who can hold that
18 discussion. That is, it's not going to be an
19 open discussion because you're going to be
20 talking about work, job responsibilities,
21 locations at the site, exposure settings. I
22 don't know the degree to which that could be
23 discussed by people without clearance, and I
24 guess I look for guidance.

25 Robert, when we get to that step in

1 the process where we have people looking at
2 the job responsibilities, the buildings, what
3 was going on, who was monitored, who wasn't
4 monitored, is that something that really can't
5 be done by us without Q clearance?

6 **MR. PRESLEY:** Somebody's going to have to
7 look at that prior to, you're going to have to
8 ask for the documents and have somebody look
9 at the documents and see whether we can do
10 that or whether the documents can be let out
11 to this group. That's something that I cannot
12 say one way or the other because I'm not that
13 familiar with what they have in those
14 documents. So they have people at their site
15 that can look at that stuff and say, okay,
16 this can be let out or it can't be let out.
17 And if it can't be let out, then we'll have to
18 go look at it.

19 **DR. MAURO:** We have two individuals that
20 visited the site for the interviews as part of
21 our report, both of whom have Q clearances.
22 My guess is at the appropriate time they will
23 be brought into the picture to talk to your
24 folks that have the Q clearances and decide
25 what do we do next once you finish step one.

1 Does that seem to be a reasonable thing to do?

2 **MR. CLAWSON:** Yeah, we need to because
3 especially in light of over the last couple of
4 weeks what's come down with DOE and so forth
5 like that. It's hard for us not to know if
6 we're crossing a line if we don't know there's
7 a line there.

8 **MR. DARNELL:** I'm sorry. You're Bob. What
9 do you do? You're talking a lot about
10 security classifications, and I apologize for
11 my ignorance, but --

12 **MR. PRESLEY:** I'm Security Representative
13 for the Advisory Board. Also, I sit on this
14 Board.

15 **MR. ELLIOTT:** He's a Board member who has
16 ADS classification.

17 **MR. PRESLEY:** Yes.

18 **MR. DARNELL:** I'm not asking to question
19 you. I just, curiosity just --

20 **MR. PRESLEY:** No, no, no problem.

21 **MR. ELLIOTT:** If you, you've got your
22 clearance.

23 **MR. DARNELL:** No, I don't have a clearance.

24 **MR. ELLIOTT:** You don't have a clearance.

25 Well, we have to send somebody from OCAS with

1 a clearance, somebody from SC&A with a
2 clearance. If the Board wanted to have a
3 cleared person, they could have one of their
4 cleared people go and look at the documents
5 and make a decision, is there something there
6 that we need.

7 **MR. CLAWSON:** Christine, with the e-mails
8 that were sent around last week and so forth,
9 this is a prime example to be able to ^ . So
10 just in light of that I think this is a
11 serious issue, and we need to take it
12 seriously. But we also need to find out where
13 the line's at.

14 **DR. MAURO:** Would you want to move on to
15 four at this point?

16 **MR. SCHOFIELD:** Ready to move on to four.

17 **ISSUE 4: PERSONNEL DOSIMETRY POLICY**

18 **DR. MAURO:** Four is really further
19 discussion regarding personnel dosimetry
20 policy. In other words I guess when we
21 reviewed the site profile, some questions came
22 up with what was the policy that was in place
23 in determining who would be badged and who
24 wouldn't be badged.

25 In response to that question for issue

1 number four NIOSH provided a very nice
2 response that's in front of me right now that
3 describes, going back, I guess, to the 1966
4 report and 1971 report prepared by GE, and
5 '79, '84. So there's a whole series of
6 documents. I'm not quite sure whether this
7 was in the site profile or not, but it answers
8 our question. So as far as we're concerned,
9 you have now put in place on the record a
10 response to this question that is acceptable
11 to SC&A.

12 Chick, is that --

13 **MR. PHILLIPS (by Telephone):** John, this is
14 Chick. I would just amend to say that the
15 site profile needs to reflect this
16 information.

17 **DR. MAURO:** Okay, so this was not in the
18 site profile. This is new material.

19 **MR. PHILLIPS (by Telephone):** Not all of it.

20 **DR. MAURO:** Okay.

21 **MR. ELLIOTT:** Well, we'll take that as a
22 suggestion.

23 **DR. MAURO:** Fair enough.

24 **MR. ELLIOTT:** Yeah, we have people looking
25 to see if we modify the site profile in that

1 regard.

2 **ISSUE 5: PROBLEMS WITH PERSONNEL DOSIMETRY**

3 **DR. MAURO:** I'm going to move on to five.

4 **MR. SCHOFIELD:** Yeah, I think that issue's
5 pretty well covered there.

6 **DR. MAURO:** Five is very much related to
7 four, and basically the response, the
8 questions that we raised again refers to this
9 external dosimetry issues and the historical
10 protocols that were followed, and in effect,
11 refers the reader back to the response to
12 question number four. And SC&A agrees with
13 your response so we feel that this issue has
14 been resolved.

15 Again, Chick, any more you would like
16 to add to that?

17 **MR. PHILLIPS (by Telephone):** Again, it just
18 needs to be reflected in the site profile.

19 **DR. MAURO:** Okay.

20 Six?

21 **MR. SCHOFIELD:** Okay.

22 **ISSUE 6: D&D ERA**

23 **DR. MAURO:** Six has to do with the D&D era.
24 It is my understanding that the site profile
25 does not address the D&D era very much, and we

1 raised a question. We said will NIOSH address
2 the D&D operations in subsequent revisions to
3 the TBDs. And the answer that NIOSH responded
4 in their written response was, yes. And as
5 long as that's the situation that you would
6 cover that stage, apparently, the current
7 version doesn't address D&D?

8 **MR. DARNELL:** No, it does not.

9 **DR. MAURO:** No, okay. So that's where we
10 are.

11 **MR. ELLIOTT:** Do we know that the D&D was,
12 well, it's a recent D&D so it was performed, I
13 suspect, with proper monitoring practices and
14 procedures.

15 **MR. DARNELL:** It's post-10-CFR-835 so they
16 were under that rule.

17 **MR. ELLIOTT:** Have we seen a claimant come
18 into our hands that required dose
19 reconstruction during the D&D period?

20 **MR. DARNELL:** Not that I know of off the top
21 of my head.

22 **MR. GLECKLER:** Yeah, because there's a
23 number of them that have just gone to that
24 employment period. I don't know if any
25 specifically identified themselves as being

1 involved with D&D operations. There's a
2 number that indicate that they were involved
3 with plant shutdown that may or may not have
4 to do with the D&D operations.

5 **MR. GIBSON:** I think we also have to be
6 careful that just because they were under 10-
7 CFR-835 rules, it doesn't mean that they
8 followed them.

9 **MR. ELLIOTT:** Yeah.

10 **MR. GIBSON:** I mean, DOE's enforcement was
11 somewhat lacking in that.

12 (Whereupon, multiple speakers spoke
13 simultaneously.)

14 **ISSUE 7: MISSING INTERNAL DOSE ESTIMATION METHODS FOR**
15 **UNMONITORED WORKERS**

16 **DR. MAURO:** I'm going to move on to seven if
17 that's okay. I'm going to introduce it and
18 then ask perhaps Chick to expand a little bit.

19 In effect we found that, yes, a
20 worker, there was a program for monitoring
21 tritium and plutonium, and currently as
22 mentioned earlier we did come across some
23 language in the site profile and in some of
24 our work where there were other radionuclides.
25 We particularly mention two, Nickel-63 and

1 Carbon-14 as being other radionuclides that
2 might be of concern. And I guess our question
3 was will there be additional, is there a need
4 for the as you see or/and will there be
5 additional guidance provided of how to deal
6 with internal exposures to those
7 radionuclides.

8 **MR. DARNELL:** Actually, for Nickel-63 I
9 don't think there's going to be a need for
10 guidance on internal dose. Again, these were
11 sealed sources mainly dealing with equipment
12 that was being used. So this was a sealed
13 source inside of a piece of equipment. So
14 unless you had a worker that dug into the
15 equipment, which isn't part of the protocol,
16 there shouldn't be anything for internal
17 exposure for Nickel-63.

18 Carbon-14 was used there as during
19 some research. There shouldn't have been any
20 internal concern for Carbon-14 because all the
21 work was done within the hood system. That's
22 pretty much the level I know about Carbon.

23 **MR. GLECKLER:** I've encountered even less
24 information on it.

25 **MR. DARNELL:** Carbon-14 is a very low

1 exposure hazard. It's on the level of tritium
2 as far as external dose. And internal doses,
3 you know, your body's made up of carbon, and
4 it changes it over quickly.

5 **MR. ELLIOTT:** But you also said you don't
6 believe or understand that there was an
7 internal dose issue with Carbon-14 as well.

8 **MR. DARNELL:** Not in anything, the effluent
9 releases on these are, they're extremely
10 small.

11 **DR. MAURO:** Is that described in the site
12 profile?

13 **MR. GLECKLER:** In the environmental --

14 **DR. MAURO:** In the environmental section,
15 oh, about the effluents but not part of the --
16 by the way, I hear your arguments, and they
17 certainly make sense to me. I know Carbon-14
18 is not a big issue especially if they're
19 dealing with it in extremely small quantities.
20 It's probably a good idea to document that to
21 put it to bed if that's the case.

22 Chick, is there any information you
23 have related to these two isotopes and perhaps
24 other isotopes that where a little bit more is
25 needed?

1 **MR. PHILLIPS (by Telephone):** Yes, I believe
2 what you just said. It just needs to be
3 expanded upon in the site profile. It
4 mentions that these are radionuclides, but it
5 doesn't go into enough detail like you just
6 mentioned to eliminate the concern about them.

7 **MR. DARNELL:** Well, we'll certainly evaluate
8 the profile to see what can or should be put
9 in there.

10 **DR. MAURO:** As a policy or process -- not
11 policy. Process is a softer word. Very often
12 at work group meetings like this an answer to
13 one of our questions comes up and sounds
14 reasonable. And the question is is it
15 necessary for the site profile to be revised
16 to reflect this or does the very record of
17 this discussion that we're having constitute
18 sufficient documentation that this issue has
19 been resolved. This is really something, I
20 guess, that goes toward the working group and
21 the Board as to what they'd like to see.

22 **MR. SCHOFIELD:** I want to see a little more
23 on this just because of the fact that some of
24 the workers could potentially have been
25 exposed because we all know hoods aren't fail

1 safe.

2 **MR. DARNELL:** That's definitely true. So
3 we're only talking the Carbon-14, not the
4 Nickel-63?

5 **MR. SCHOFIELD:** Right.

6 **MR. ELLIOTT:** I think we -- to answer your
7 question, John, I won't speak on behalf of the
8 working group or Board members. But from
9 NIOSH's perspective there's the site profile
10 needs to be revised to address what happens or
11 what is discussed, what's resolved in this
12 working group session.

13 The reason for that is the dose
14 reconstructors typically are not going to look
15 and read the transcript of today's session to
16 learn, and they don't take their guidance from
17 this. They take their guidance from
18 NIOSH/OCAS and the document that is used in
19 the process which is the site profile.

20 **DR. BRANCHE:** So you will amend it?

21 **MR. ELLIOTT:** Yeah, we'll look, we're going
22 to look at all of these issues and make the
23 modifications that are appropriate to provide
24 the right guidance.

25 **ISSUE 8: POTENTIAL FOR MISSED DOSE FOR DEPLETED URANIUM**

1 **DR. MAURO:** Move on to number eight.

2 Chick, I'm going to need a little help
3 with eight. It sounds like that this has to
4 do with depleted uranium and the results of
5 some interviews that there was some potential
6 for exposure to depleted uranium. Could you
7 tell us a little bit more about this and as
8 described on this summary page?

9 (no response)

10 **DR. MAURO:** It sounds like Chick didn't hear
11 me.

12 **DR. BRANCHE:** Chick, are you there? Or if
13 you're there, potentially you're muted.

14 **MR. PHILLIPS (by Telephone):** I'm sorry.
15 I'm muted.

16 We felt like that the site profile was
17 deficient relative to the potential for
18 exposure to depleted uranium. And there was
19 some information, and it was discussed in the
20 matrix here that one worker had mentioned the
21 milling or grinding of depleted uranium. And
22 I think that's discussed in this issue. But
23 if indeed depleted uranium is not to be
24 considered, then that discussion should take
25 place and justify it in the site profile.

1 **MR. DARNELL:** We'll certainly evaluate that.
2 We do know of several incidents that happened
3 with uranium beds. As far as milling or one,
4 several reports on it. As far as milling,
5 grinding, working with the DU, there was no
6 process for that that we're aware of at the
7 Pinellas site, none whatsoever. The
8 radioactive materials that got to Pinellas
9 with the exception of the tritium were sealed
10 sources. There would be no reason for them to
11 break into a depleted uranium bed to grind on
12 them.

13 **DR. MAURO:** It was a depleted uranium -- is
14 that a storage device for tritium?

15 **MR. GLECKLER:** Yes. It's another metal
16 tritide type situation, contained. It's how
17 they store large quantities.

18 **DR. MAURO:** And you describe some incident
19 that might have occurred where there might
20 have been some exposure, internal exposure.

21 **MR. GLECKLER:** I believe, was it '75? There
22 was a valve that leaked. I'm not, they go
23 into it in pretty good detail, but they took
24 steps to fix that so it never happened again.

25 **MR. ELLIOTT:** Any incident report? Based on

1 an incident report?

2 **MR. DARNELL:** Yes. It happened over a
3 three-week period from an improper valve
4 closure. Basically, uranium oxide and uranium
5 nitrides were formed in the DU bed. And
6 there's documentation discussing how they
7 were, prevented this occurrence from happening
8 again. It's documented that it's the first
9 incident that occurred on January 31st, '75,
10 and it talks about how it was corrected and
11 prevented.

12 **DR. MAURO:** So if a person were in the
13 vicinity of this particular incident, they
14 would be exposed to tritiated water vapor and
15 depleted uranium as aerosol?

16 **MR. DARNELL:** No, the incident actually
17 happened inside the bed, so I'd have to do a
18 little bit more research, but it does not look
19 like there was an exposure outside of the bed
20 that occurred from this except for during the
21 preventative maintenance, and the preventative
22 steps that they took, and the repairs that
23 they took.

24 **MR. PHILLIPS (by Telephone):** You're saying
25 that the beds were received from offsite in

1 the form that they were used?

2 **MR. DARNELL:** I'll have to get back to you
3 on that. I did not go into researching that,
4 unless Brian knows.

5 **MR. GLECKLER:** I'm trying to remember if
6 they shipped the beds as the container for
7 shipping the tritium or if they transferred
8 them into the beds. But I know those beds
9 were used as the basically the tritium storage
10 tanks so to speak where all they would do is
11 heat the bed, I guess, just like to drive off
12 the tritium and into the manifold. And I
13 can't remember if they actually shipped it
14 using the beds.

15 **MR. PHILLIPS (by Telephone):** My
16 understanding is from what, from my review of
17 the literature that they received the depleted
18 uranium, but they prepared the beds there. So
19 I think that needs to be addressed. You know,
20 what did they do with that material once they
21 received it to get it into the final form for
22 the beds that were used in the tritium
23 process.

24 **MR. DARNELL:** Most application of DU beds,
25 the preparation of the beds for use has to do

1 with a heating cycle and a cooling cycle plus
2 some other preparations of material already
3 inside their containers. I have never read
4 anything where the DU was shipped and then the
5 DU put into the container for one of these
6 storage beds. Like I said, I'm going to have
7 to get back to you with more for the specifics
8 of Pinellas, but what you're describing
9 doesn't sound right in my experience.

10 **MR. ELLIOTT:** Let us look into --

11 **MR. PHILLIPS (by Telephone):** I don't have
12 the reference now, but I believe there are a
13 couple of places where it would lead you to
14 that conclusion. But we can talk about that,
15 but I think for today, I think that needs some
16 research on your part and maybe a little more
17 on my part, too.

18 **MR. DARNELL:** Yeah, you have to remember
19 that Pinellas was a user not a builder of that
20 type of stuff. So they would have received
21 the final product not built the product to use
22 at their site. But we do need to research it
23 a bit more and get back to you on this.

24 **MR. ELLIOTT:** Chick, this is Larry Elliott.
25 If you have any references that we don't have,

1 we would appreciate you sharing those.

2 **MR. PHILLIPS (by Telephone):** I believe that
3 what I got was from the O drive. I've been
4 searching for it, but I can't find it right
5 now, but I'll certainly share it with you when
6 I do.

7 **MR. ELLIOTT:** Thank you, sir.

8 **MR. SCHOFIELD:** How often was the machining
9 done on these 50-gram loadings on these tubes?
10 It talks about right here in your response it
11 talks about the machinist. It goes on and
12 says they were placed in their pockets and
13 transferred.

14 **MR. GLECKLER:** Now, I think that's coming
15 from one claimant in particular, I think, that
16 got brought up, I think the claimant might
17 have attended the worker outreach meeting on
18 that.

19 **MR. DARNELL:** What we're talking about here
20 is basically a stainless steel pipe filled
21 with the DU. It had a centered filter to keep
22 the DU inside. I don't know, I haven't spoken
23 to this claimant, so I haven't heard this
24 story from, directly, but that doesn't sound
25 like something that Pinellas should have to do

1 for the course of the work they were doing.
2 Obviously, it could have been something
3 special that went on, just not aware of it.
4 Like I said, we need to research it.

5 **MR. SCHOFIELD:** I know they had the
6 facilities were low moisture controlled, a lot
7 of those. And the drive trains, they used
8 depleted uranium. Is there any documentation
9 to that effect?

10 **MR. GLECKLER:** I haven't encountered
11 anything on that. They did have uranium dope
12 glass, and that's one of the things that I
13 think we need to watch out for on this
14 discussion is that one instance where an
15 individual's talking about carrying it in
16 their pocket, they mentioned glass pieces is
17 like which I wonder if it might not be
18 essentially glass beads, like bulk glass that
19 would have depleted uranium in it versus
20 anything to do with the uranium beds, tritium
21 storage beds. That would make a big
22 difference.

23 **MR. ELLIOTT:** It seems like we've got more
24 work to do here on number eight.

25 **MR. SCHOFIELD:** Okay, so this one's open

1 yet.

2 DR. MAURO: Yes.

3 MR. SCHOFIELD: And showing research, I
4 guess.

5 **ISSUES 9,10,11: OCCUPATIONAL MEDICAL EXPOSURES**

6 DR. MAURO: We're up to, I'm going to roll
7 nine, ten and 11 together because it has to do
8 with occupational medical exposures, the three
9 of them. And they're all really related. I'm
10 going to try my best to communicate my
11 understanding of the issues.

12 And, Chick, if you would want to
13 embellish on it at all, that would be great.

14 When I reviewed this, this is a
15 recurring question that perhaps has been put
16 to bed. I'm not sure. When occupational
17 medical exposures are being done, I noticed
18 that when I reviewed a lot of the cases, we
19 always use the generic approach developed by
20 Ron Kathren. Originally, it was OTIB-0006, I
21 believe, and now there's an update of that
22 which is not that much different.

23 And we did a detailed review of it,
24 and by and large the bottom line is that the
25 numbers selected there we found favorable,

1 claimant favorable. So what we usually look
2 for when we're looking at a site profile is
3 are you adopting the Ron Kathren protocol in
4 the OTIB. And my understanding is that the
5 election was not necessarily to use that but
6 to use some site-specific information related
7 to the workers themselves, where you actually
8 have worker records, medical records of when
9 he was X-rayed, if he was X-rayed, et cetera,
10 et cetera, which makes it less claimant
11 favorable and specific for the claimant, I
12 guess, which I was surprised to see. Because
13 usually what I've seen is always gone toward
14 this default. And it's before 1970 when the
15 worker worked there, you would default to the
16 fluoroscopic examination, which is not a small
17 exposure usually to, I guess, the chest area
18 only like three rem per shot.

19 **MR. GLECKLER:** Before 1960 I think.

20 **DR. MAURO:** The guidance said anything
21 before '70 you assume annual fluoroscopic.

22 **MR. DARNELL:** We have a program evaluation
23 report on that. It's much earlier than '70s.

24 **DR. MAURO:** Okay, so that may be being
25 revised then.

1 **MR. DARNELL:** I think it is like through the
2 '60s.

3 **MR. GLECKLER:** I'm pretty sure the TBD
4 reflects that.

5 **MR. DARNELL:** Through 1960, not the '60s.

6 **DR. MAURO:** So you can see when we do our
7 audits of the DRs for the cases and that, one
8 of the things we always do, we see it all the
9 time is wait a minute, where's the
10 fluoroscopic examinations. You only gave him
11 the ten millirem from the each X-ray to the
12 chest and whatever -- it goes to the other
13 organs -- as opposed to the three rem that you
14 get from the fluoroscopic.

15 And so again I'm looking at this, and
16 I said it looks like that they're doing
17 something different here. And that's one side
18 of the recurring issues that we've raised
19 related to this matter. And the other side
20 has to do with things that -- the fellow that
21 reviews these things for us, he's sort of an
22 expert in this area of medical X-rays.

23 And there's something he keeps
24 referring to as retakes. That is, when a
25 person goes for an X-ray, they get multiple

1 shots often. It's not unusual. And that the
2 one X-ray per year, which is part of the
3 medical surveillance program, may not do
4 justice to the fact that there are other X-
5 rays that he may have been subject to during
6 the year and that might need to be taken into
7 consideration. He gives a long list of
8 conditions under which those circumstances
9 occur.

10 **MR. GLECKLER:** As far as I can tell that is
11 taken into consideration. That's the retake
12 or the need to do, that a retake was being
13 performed will show up in the records or has
14 showed up in the records. We've seen that,
15 and we'll count those X-rays.

16 **MR. DARNELL:** And the TBD includes
17 requirements for doing the photofluorograph,
18 the chest X-ray, KUB and lumbar spine.

19 **DR. MAURO:** And you have the
20 photofluorograph and that's in there also, and
21 that's starting with date, '60 you said?

22 **MR. DARNELL:** 'Fifty-seven through '60 I
23 believe is when the PFGs were included. And
24 then the chest, KUB and lumbar spine was in
25 the medical records. One thing we have to

1 make, understand with Pinellas is that the
2 facility was also open to workers for non-,
3 well, for other medical reasons, too. So they
4 could have gotten X-rays for diagnostics that
5 had nothing to do with the work.

6 **DR. MAURO:** In other words the policy is not
7 to include those others. If a guy breaks his
8 leg on the job and gets an X-ray --

9 **MR. ELLIOTT:** So you're saying those might
10 be in the medical record, too, and have to be
11 teased out.

12 **MR. GLECKLER:** It looks like a lot of
13 fluoroscopy-type procedures, barium enemas,
14 barium swallow-type procedures, and you see
15 all kinds of stuff.

16 **DR. MAURO:** And you would expect that.

17 **MR. GLECKLER:** Yeah, for those.

18 **MS. THOMAS:** If I can address your first
19 issue, too, OTIB-0006 is only the organ dose.
20 The organ doses in OTIB-0006 are only to be
21 used when we don't have site-specific data.
22 So that's used as a default. So if we do find
23 site-specific data, then that will be used to
24 develop site-specific organ doses.

25 **MR. SCHOFIELD:** We're going on the

1 assumption they had an annual chest X-ray.

2 **MR. GLECKLER:** And something to be aware of
3 we've also gathered more information regarding
4 the PFG use, and that's looking like that's
5 very likely a very claimant assumption. We've
6 interviewed the plant nurse that was there
7 from, I think, like 1958 into the 1980s
8 timeframe and took a lot of those, was
9 involved with taking a lot of those X-rays.
10 And she's indicated that there is, they had
11 not PFG capability.

12 **DR. MAURO:** Okay, so you have documentation
13 of that.

14 **MR. DARNELL:** We only remember seeing one
15 PFG record in the dose reconstructions that
16 were done, but we applied it through that
17 timeframe based on that one photofluorographic
18 record.

19 **DR. MAURO:** So when you have a worker that,
20 let's say you go into his records. You go
21 back to the earlier years, and you see no
22 records for him for X-rays or a PFG. What do
23 you do?

24 **MR. GLECKLER:** If it's a non-comp case,
25 we'll take the claimant favorable approach and

1 give him one extra PA chest X-ray per year.
2 But if he's got his records, we'll apply it
3 based on what's in the records.

4 **DR. MAURO:** So you wouldn't automatically
5 postulate that he did get an X-ray if that
6 would cross the line and cause him to be
7 compensated?

8 **MR. GLECKLER:** Correct. If they don't have
9 any records at all, it's like we'd be hard, we
10 haven't been able to justify making a case
11 compensable on that assumption. We typically
12 have to default for a compensable case if they
13 had no X-rays.

14 **DR. MAURO:** Now the reason you're doing --
15 and I know you don't do that -- at many other
16 sites that wasn't done. But for some reason
17 at this site you feel confident that if
18 there's nothing in the records, you feel
19 confident that he didn't get the X-rays, I
20 guess. And the reason for that is you have
21 lots of information that says the records were
22 complete with regard to -- even in the early
23 years. This is important.

24 **MR. GLECKLER:** Yeah, if they provide the
25 records at all, we're assuming that those

1 records provided by the DOE are complete for
2 that individual, and we haven't seen anything
3 to indicate to the contrary on that. So if we
4 received the medical records as part of the
5 DOE response, and out of all those -- it's all
6 their medical records not just X-rays. It's
7 like if there are no X-ray records, then the
8 case is potentially compensable or close to 50
9 percent on that POC it's like then we have to
10 assume that they had no X-rays on that.

11 **MR. DARNELL:** Basically, the default at
12 close to 50 percent is to go as accurate as we
13 possibly can using the records that we have.

14 **DR. MAURO:** This is a bit different than was
15 done in other places.

16 **MR. ELLIOTT:** Sure.

17 **DR. MAURO:** And that's fine. You've got
18 good reason for it. There's no doubt if you
19 have a rock solid, you stand on a rock, you've
20 got it. You've got the records. You know
21 that if there are no X-ray records for this
22 worker, he didn't get X-rays. And that's the
23 position you're taking.

24 **MR. ELLIOTT:** All of the other sites that
25 we've seen, I assume that they -- and using

1 TIB-0006 or whatever it is -- AWE sites. We
2 don't have any information that tells us that
3 they had such a monitoring program used in ^
4 or X-ray, so that's why we default to that.

5 In this case it's my understanding
6 from what I've heard and what I've been told
7 that we have good records to support this
8 position, and we've talked to the person who
9 evidently was the principal in charge of
10 taking the X-rays.

11 **DR. MAURO:** What was the beginning start
12 date for this facility when they started this?

13 **MR. DARNELL:** 'Fifty-seven. The nurse they
14 spoke with was started in '58.

15 **DR. MAURO:** So you've got that information.

16 **MR. ELLIOTT:** She was probably the one that
17 put it into the medical file, too.

18 **MR. PHILLIPS (by Telephone):** And do you
19 believe that to be true for the '57 to '60
20 timeframe as well?

21 **MR. DARNELL:** Yes.

22 **MR. GLECKLER:** At least the '58 to '60
23 timeframe. She was there. So that leaves one
24 year which it's unlikely they would have
25 changed any equipment within the first year of

1 the plant's operation.

2 **MR. ELLIOTT:** When did they start in '57?
3 January of '57 or --

4 **MR. GLECKLER:** The plant itself, I think was
5 it, yeah, it was some time in '57 but
6 something to be aware of, there's a temporary
7 facility in the St. Pete area that operated.
8 I think it just had some tritium work going on
9 there.

10 It's like up as early as 1956, and
11 that's, I think one of the issues that I still
12 need to deal with in the TBD because I don't
13 think it properly addresses that and leaves
14 that as a hole. Because there are some claims
15 that have popped up for that employment that
16 mention that.

17 **MR. PHILLIPS (by Telephone):** And we would
18 agree with that.

19 **DR. MAURO:** And the only thing I'd like to
20 say is that the X-ray side of the story, which
21 is this is new information in terms of our
22 experience over the last several years this is
23 a special case where even into the '50s you've
24 got this good information. And certainly, if
25 you're talking to the nurse that was there,

1 not bad.

2 The fellow that looks into this matter
3 for us unfortunately is not on the line, Dr.
4 Pettingale (ph). What I'd like to do is just
5 a follow up to this. I'd like to talk to him
6 a little bit about this to see his
7 perspective. Because he had quite a bit to
8 say about this matter. But your response
9 certainly is responsive. You have the data.
10 You have the people, and that's a pretty
11 strong argument. Something that we don't have
12 at other sites.

13 **MR. GLECKLER:** The one thing we don't have
14 is good programmatic information to say that
15 this was the frequency of what we performed X-
16 rays for at various intervals. I mean,
17 there's virtually none of that, but we did get
18 the actual X-ray records.

19 **DR. MAURO:** I'm especially --

20 **MR. GLECKLER:** Gonna need that information
21 at that point.

22 **DR. MAURO:** PFG, I mean, that is not a small
23 dose. X-rays are --

24 **MR. GLECKLER:** It's made a lot of cases at
25 that site compensable.

1 **DR. MAURO:** Yeah, and so I think a lot rides
2 on that. We may want to -- if it's okay with
3 the work group -- ask Harry to look into that
4 further because I wouldn't want to walk away
5 from three rem a year external exposure which
6 may very well dominate exposures if, in fact,
7 you were to make that assumption. Let's say,
8 you didn't have the benefit of this
9 information, and you would default it to let's
10 say up to 1970 or 1960, a PFG, my guess is
11 that three rem a year would be the highest
12 doses that anybody got there from external
13 exposure.

14 **MR. DARNELL:** That's absolutely correct.

15 **DR. MAURO:** This is in my mind a very
16 important issue.

17 **MR. CLAWSON:** So SC&A's going to look into
18 that a little bit further. Now you rolled in
19 nine, ten and 11?

20 **DR. MAURO:** Yeah, because they're really all
21 --

22 **MR. CLAWSON:** I just want to make sure that
23 we're clear on that.

24 **MR. ELLIOTT:** And I think we need to tighten
25 up the TBD site profile in that regard, too.

1 Not only the '56 year but what we're doing
2 specifically on X-rays, and why we feel we --
3 I mean, let's just be clear here. We have a
4 standard approach for AWEs and DOE sites where
5 we don't have a lot of data. Here's a site
6 where we have X-ray data. And I think that
7 needs to be very clearly articulated in the
8 site profile.

9 **DR. MAURO:** And bulletproof because the
10 whole body dose turns on it.

11 **MR. ELLIOTT:** It's a shift in dose
12 reconstruction approach.

13 **MR. PHILLIPS (by Telephone):** I think if
14 that were discussed more thoroughly, that
15 would be very helpful.

16 **MR. SCHOFIELD:** Is it safe to assume that
17 the, probably the only people who were
18 monitored, they felt that should be monitored
19 up until, what is it, about 1974 I believe it
20 is, received an annual X-ray?

21 **MR. GLECKLER:** No, it's pretty much everyone
22 received at least the pre-employment X-ray.
23 That's one way that we could quickly tell,
24 hey, we might be missing some records here.
25 There's that, virtually everyone's received a

1 pre-employment. So we have that as a minimum
2 typically. There's a couple cases where there
3 were GE employees that transferred over. In
4 those cases we might be missing records in
5 those instances where they're transferring
6 from one GE facility to Pinellas or Pinellas
7 to another GE. Sometimes they transfer those
8 records with them, and we might have to go to
9 that other facility which is quite often ^.

10 **MR. SCHOFIELD:** Yeah, because it says right
11 here in the SC&A statement that chest
12 examinations are often quite limited after
13 1974, and that's why I was wondering about the
14 period before 1974 for those who were
15 considered radiation workers if they received
16 an annual X-ray.

17 **MR. GLECKLER:** Based on a review of all the
18 records I've seen, there's really no rhyme or
19 reason of what any frequency. We can't make
20 any assumptions regarding frequency. And so
21 it's fortunate that they are providing the
22 records for that site because some years they
23 will receive more than one chest X-ray. Other
24 years, they might go five years. I don't know
25 what's, it's hard to tell what's driving it

1 for that site.

2 **MR. PRESLEY:** Have you been able to see what
3 type of a frequency they had their health
4 checkups? Is it a two-year program, site
5 program? Is it a five-year program or
6 something like that?

7 **MR. GLECKLER:** As far as their physicals I
8 think a lot of them are annual. I'm trying to
9 remember. It's been awhile since I've looked
10 at, processed any Pinellas cases. It's like -
11 -

12 **MR. DARNELL:** The way the Pinellas records
13 were given to us, we have records that show
14 the X-rays. And there's a supporting document
15 that we don't typically take a look at for the
16 rest of the physical. What we have on our
17 electronic database is the X-rays.

18 **MR. GIBSON:** Do they have or was there a
19 need for them to have a respirator fitting
20 program there?

21 **MR. DARNELL:** It wasn't a need as far as I
22 know. I don't remember reading anything about
23 a respirator fit program there. If there were
24 one, it would not be for radiological. It
25 would be more for chemical, industrial

1 hygiene-type hazards.

2 **MR. GIBSON:** But even on the other side of
3 the house if they had one, it requires an
4 annual physical which they may very well have
5 been X-rayed.

6 **MR. DARNELL:** May very well, but we don't
7 get into that side of the site's operations.

8 **MR. ELLIOTT:** And we see the X-rays.

9 **MR. GLECKLER:** The medical records we get
10 show which periodic physical exams they
11 received which not all of them did they
12 receive a chest X-ray or an occupational X-ray
13 during those. You can do that by matching
14 them up. I haven't done that in some time.
15 It's like now after doing quite a few cases
16 for that site it's like you realize, okay,
17 this is what's going on. You just go and look
18 for the X-ray records and run with those.
19 Sometimes if we think we're missing some
20 records, then we'll go back and look at the
21 physical exams and see if there's a, or if
22 there's no indication of a chest X-ray record
23 in there, we'll go back and sometimes those
24 might give us a clue of whether they received
25 something or not.

1 that I'm making based on what I'm seeing. I'm
2 making that connection. But we're still
3 counting them as occupational. But that's
4 about the only ones that are getting annuals
5 from what I can tell.

6 **SECONDARY FINDINGS**

7 **DR. MAURO:** That completes the findings, the
8 11 findings and the action items. There are a
9 number of what I call secondary findings. But
10 I reviewed those, and they all link back in
11 some way or another to the primary findings we
12 just discussed. I would suggest that there
13 really is no need to visit the secondary
14 findings at this time for the following
15 reason:

16 Everything that we just talked about
17 when we regroup and address the 11 items we
18 just covered with the additional material, I
19 think we're going to find we will have covered
20 all these other what I call secondary
21 findings. In other words I think when looking
22 at them they all almost reflect back --

23 And please, Chick, correct me if I'm
24 wrong.

25 That is, if we do satisfactorily

1 answer all of the questions raised on the 11
2 findings, I think we're going to find
3 ourselves in the position where all the
4 secondary findings go away. I hate to jump to
5 such an enormous conclusion, but that's the
6 way it looks to me in reading through the
7 secondary findings.

8 Chick, are there any secondary
9 findings here that you think need to be
10 brought up at this time because they differ
11 substantially from the first set of 11?

12 **MR. PHILLIPS (by Telephone):** I would say
13 secondary issue three and four are not -- we
14 haven't specifically discussed, but those are
15 relatively minor. It might be worthwhile just
16 to touch on three and see what the NIOSH
17 response on that is.

18 **DR. MAURO:** Why don't you go ahead and
19 summarize them for us?

20 **MR. PHILLIPS (by Telephone):** Well, there
21 were perimeter tritium monitoring stations and
22 the data should be available for those. And
23 it wasn't mentioned in the environmental site
24 profile. And it just seemed that that data
25 should be considered.

1 **DR. MAURO:** Are these tritium?

2 **MR. PHILLIPS (by Telephone):** Yes.

3 **MR. ELLIOTT:** As we say here, we'll look at
4 that, environmental air data, and it will be
5 reviewed and if the TBD needs to be updated,
6 we'll do so.

7 **MR. DARNELL:** I'm looking at the
8 environmental data, Table A-4.A of the
9 technical basis document. It's got tritium
10 gas, tritium oxide, Krypton-85, Carbon-14.

11 **MR. GLECKLER:** What I think you've indicated
12 in there, Pete, is that those values are based
13 off of, I think, a CAP-88 run or a dispersion
14 model run to where did they ever go back and
15 compare it to the perimeter concentrations
16 that they were monitoring to just kind of
17 calibrate the model? That I don't know.

18 **MR. DARNELL:** Is that what you're asking,
19 Chick?

20 **MR. PHILLIPS (by Telephone):** Yes, that's
21 it. I think it should be considered. We can
22 discuss it.

23 **MR. SCHOFIELD:** People are going to, I think
24 it needs to be addressed, but about the
25 potential for those people having tritium

1 uptakes from the stacks. That's got to be
2 addressed so that the people looking at the
3 TBD can understand the issue of the stack
4 floats being discharged. There's quite a few
5 ^.

6 **MR. GLECKLER:** Yeah, but based on the
7 dispersion model calculations we're talking
8 like the doses come out to be about ten
9 thousandth of a millirem at their highest.

10 **MR. SCHOFIELD:** But I don't remember seeing
11 that action spelled out in the TBD for people
12 who are looking at this. They're going to
13 look at those charts, those lines and the
14 number of curies that were put out to the
15 stacks.

16 **MR. GLECKLER:** Well, we've got the annual
17 intakes that we've assigned from an
18 environmental side where when we plug those
19 into a dose calculation tool, that's going to
20 kick out what the dose is because it will be
21 different -- well, it's actually not different
22 for each internal organ but with tritium.

23 **DR. MAURO:** So you have numbers in the site
24 profile that say using the source term
25 information you have and the classic

1 atmospheric dispersion model like CAP-88,
2 you're onsite, airborne tritium levels to
3 outdoor workers could not exceed one millirem
4 a year.

5 **MR. GLECKLER:** That's just what they come
6 out to. They calculated intakes, annual
7 intakes, based on the stack releases, and
8 those models to where if you plug those
9 intakes into to a dose calculation tool, one
10 of the dose calculation tools that we have,
11 it'll kick out like about a ten thousandth of
12 a millirem for dose.

13 **DR. MAURO:** Is that where you're coming in?

14 **MR. GLECKLER:** Yeah. And actually, you
15 know, those doses would only reflect what
16 exposures outside the buildings were.
17 Potential exposures inside the buildings were
18 much higher.

19 **DR. MAURO:** Well, I have to say, knowing
20 tritium it takes an awful lot of tritium in
21 the environment to deliver a substantial dose.
22 As long as your source terms -- I guess the
23 question is you have to be off by orders of
24 magnitude on your source term to even make
25 this onto the radar screen.

1 **MR. GLECKLER:** It would be at least three
2 orders of magnitude.

3 **MR. ELLIOTT:** We have committed to look at
4 the environmental air sampling data, review it
5 and see if we need to make a change.

6 **DR. MAURO:** Okay.

7 **MR. ELLIOTT:** Is that satisfactory?

8 **MR. PHILLIPS (by Telephone):** That's
9 satisfactory.

10 **DR. MAURO:** And number four, Chick?

11 **MR. PHILLIPS (by Telephone):** That would
12 have to do with the discussion of uncertainty.
13 And again, I think it's a minor issue. I
14 asked to take a look at that discussion and
15 see if it can be revised.

16 **DR. MAURO:** This has to do with the
17 plutonium?

18 **MR. PHILLIPS (by Telephone):** It's just a
19 discussion of uncertainty in general.

20 **MR. GLECKLER:** This is in regards to the
21 environmental stuff?

22 **MR. PHILLIPS (by Telephone):** Yes.

23 **MR. GLECKLER:** I think the reason that the
24 TBD author kind of left that vague or that
25 section vague is because it's being run

1 through a dispersion model. And to my
2 knowledge there's no way to really quantify
3 that uncertainty associated with that. And
4 it's going to be a much larger uncertainty
5 when you're modeling Mother Nature than any of
6 the other uncertainties that factor into that.

7 **MR. PHILLIPS (by Telephone):** Yeah, just
8 some discussion of that, and just like you
9 gave then, at least to kind of set the stage.
10 The discussion that's there is, it seems kind
11 of off the top of the hat, so I would ask just
12 take a look at that and see if more specific
13 things can be said.

14 **MR. ELLIOTT:** Just why we plucked out three
15 standard deviations as the parameter for
16 assessing uncertainty.

17 **MR. PHILLIPS (by Telephone):** Yes.

18 Other than that, John, I agree with
19 you. I think all the other things are covered
20 in the discussion we just had. And if we get
21 through the 11 issues that these will be
22 cleared up, too.

23 **DR. MAURO:** One more thing I'd like to ask
24 the working group. Given that there is an SEC
25 petition that has been qualified --

1 **MR. DARNELL:** It's in consultation.

2 **DR. MAURO:** Oh, it's in consultation, okay.
3 So it's premature to talk about this then.
4 Never mind. I was just going to say if there
5 was qualification the degree to which the
6 Board would want us to read the petition and
7 the issues raised and perhaps that would be a
8 pointer to some of the issues here and whether
9 or not we, you know, what are the ones that
10 are sensitive with regard to SEC.

11 But in a way that brings us -- I don't
12 know if you're ready to move into that world.
13 We just keep doing our site profile work now
14 and we won't consider SECs until, I guess,
15 until the evaluation report comes out. That's
16 something that we take our direction from the
17 Board.

18 **DR. BRANCHE:** It would be appropriate for
19 you to wait.

20 **DR. MAURO:** We will wait.

21 I think SC&A has completed our issues
22 discussion.

23 Chick, anything else?

24 **MR. PHILLIPS (by Telephone):** No, I think
25 you covered it, John. I think that, I agree

1 with where we came out.

2 **MR. SCHOFIELD:** I'd like to take a look at
3 issue number five there.

4 **MR. GLECKLER:** Secondary issue?

5 **MR. SCHOFIELD:** Yeah, it's a secondary issue
6 about the bioassay.

7 **DR. MAURO:** It's the five-to-one ratio?

8 **MR. SCHOFIELD:** Yes. How they come up with
9 these ratios.

10 **DR. MAURO:** Chick, would you mind just
11 giving us a conceptual description of this
12 issue number five related to, I guess, the
13 five-to-one issue, Plutonium-238, -239 and
14 what the concern is?

15 **MR. PHILLIPS (by Telephone):** This relates
16 to when a bioassay sample is considered to be
17 non-detectable. And there were five criteria
18 I believe that were set up. And this was post
19 -- what was the date on this? I'm sorry.

20 **MR. GLECKLER:** Had to be fairly modern era.
21 I think in the '88 timeframe was it?

22 **MR. PHILLIPS (by Telephone):** Nineteen
23 eighty-eight timeframe.

24 **MR. CLAWSON:** 'Ninety actually.

25 **MR. GLECKLER:** 'Ninety?

1 **MR. CLAWSON:** 'Eighty-eight, '89 and '90.

2 **MR. GLECKLER:** Yeah.

3 **MR. PHILLIPS (by Telephone):** In one of
4 those related to the criterion that's shown in
5 this particular issue, and that is the ratio
6 of Plutonium-238 to -239, the bioassay sample
7 should be five-to-one. Or the -238 is
8 detected while Plutonium-239 is not detected.
9 And we questioned that as being a viable
10 criterion for rejection.

11 And this relates back to the amount of
12 Plutonium-239 versus -238 in the RTG devices.
13 And this particular criterion, at least
14 according to the site profile document,
15 resulted in the rejection of a number of
16 bioassay samples. It may have been in
17 collusion with other criteria, but the way
18 it's stated is that it resulted in the
19 rejection of a considerable number of samples
20 as being non-positive.

21 **MR. GLECKLER:** Something to realize on that
22 it's like that is Pinellas plant documentation
23 being quoted in the TBD. That is not our
24 document or our statement.

25 **MR. ELLIOTT:** Not an interpretation.

1 **MR. GLECKLER:** That's not an interpretation
2 on our behalf. That is exactly how it's
3 quoted to where one thing regarding the or,
4 after the or part of that, is the PU-238 is
5 detected while PU-239 is not detectable. I
6 looked at the source. That is exactly how
7 it's quoted in the source document to where
8 that's probably a typo in the source document
9 is the only thing I can think they flip-
10 flopped. It doesn't make sense.

11 **MR. PHILLIPS (by Telephone):** I would agree
12 that that's a viable criterion if you reverse
13 those, that 239 is detected while 238 is not
14 detectable. That would be a reason.

15 **MR. ELLIOTT:** We should correct that.

16 **MR. PHILLIPS (by Telephone):** Can you
17 confirm that that's incorrect in the --

18 **MR. GLECKLER:** I don't know if there's a way
19 to confirm whether or not the plant used that
20 criteria as that or as it should have been,
21 you know, if that was inverse. I mean, this
22 is coming from plant documentation as far as
23 the RAD-CON Program at the site. But the
24 TBD's just quoting that directly without any
25 changes or interpretation.

1 **MR. PHILLIPS (by Telephone):** But it's
2 stated in that document that 238 is detected
3 while 239 is not detected?

4 **MR. GLECKLER:** Yeah, and I found the source
5 document for that because I was thinking maybe
6 it's a typo in the TBD. And, no, it's that
7 way in the source document coming from the
8 Pinellas plant health physicist. So I don't
9 know. I suspect that it's probably a typo in
10 their document, but it's going to be probably
11 difficult or next to impossible to verify that
12 that was a typo in their document, and they
13 were actually using the inverse of that.

14 **MR. ELLIOTT:** Well, maybe we don't have to
15 verify a typo. I mean --

16 **MR. DARNELL:** We're talking about a very
17 small amount of samples for a very, very low
18 exposure hazard here with dealing with
19 plutonium.

20 **MR. ELLIOTT:** We could make that
21 interpretation technically and say it doesn't
22 make sense the way it's couched. It makes
23 more sense if it was reversed, and we could
24 apply it that way.

25 **MR. GLECKLER:** We could always reevaluate

1 the data or take another look at the data
2 ourselves and provide our own interpretation
3 versus quote the plant's.

4 **MR. PHILLIPS (by Telephone):** Yeah, I would
5 suggest that both of those need to be done.

6 **MR. GLECKLER:** Both of what?

7 **MR. PHILLIPS (by Telephone):** That the issue
8 of 238 versus 239, the criterion needs to be
9 addressed. And the data needs to be looked at
10 to confirm, to the degree that you can, why
11 those were rejected.

12 **MR. ELLIOTT:** It's only a small amount of
13 dose either way, but it would perhaps help a
14 best estimate. Am I correct, Pete or Brian?

15 **MR. GLECKLER:** What's that now?

16 **MR. ELLIOTT:** I say it's a small amount of
17 dose we're talking about here, but it could
18 help in a best estimate situation.

19 **MR. DARNELL:** Only in a best estimate
20 situation.

21 **MR. ELLIOTT:** If we did it wrong, that's the
22 risk, and I don't want to take that risk.

23 **MR. PHILLIPS (by Telephone):** I'm not sure I
24 followed that. Could you say that again?

25 **MR. ELLIOTT:** Well, this is Larry Elliott.

1 We have different approaches that we use in
2 our efficiency process to help move claims
3 through dose reconstruction. And a best
4 estimate is that in and of itself. We try to
5 make sure that we account for all dose so that
6 we give the claimant the best dose
7 reconstruction that we can. That's what we
8 call a best estimate. And generally, we find
9 those in the area of 45 percent and less than
10 49.9 percent POC.

11 **MR. DARNELL:** Actually, it's 45 percent to
12 52 percent.

13 **MR. ELLIOTT:** Fifty-two percent, that's the
14 way it's written up, but you know, to make
15 sure people get across the line, the
16 compensation bar, that's what we're worried
17 about.

18 **DR. MAURO:** You're going to have to help me
19 out. I'm reading this, trying to make it make
20 sense to me. So you take a urine sample and
21 your expectation is that because the ratio,
22 the mass ratio, of Plutonium-238 to -239, is
23 there a mass ratio or is there an activity --

24 **MR. PHILLIPS (by Telephone):** Activity
25 ratio.

1 **MR. GLECKLER:** It's set up as an activity
2 ratio. It could be either way, but this one's
3 set up as an activity ratio.

4 **DR. MAURO:** So there's an activity ratio
5 associated with the source. This is what you
6 get when you're working with those
7 thermoelectric generators and use Plutonium-
8 238 as your source of heat.

9 **MR. GLECKLER:** Still a certain amount of PU-
10 239.

11 **DR. MAURO:** Yeah, they always put 239 in
12 there. And there's five times more activity
13 in there, 238, than there is 239
14 disintegrations per second. So that's what
15 you get when you buy this product.

16 Now along comes a person working with
17 this stuff and you pull a urine sample. And
18 what I'm hearing here is that you would -- if
19 you get a positive 238 result on your urine
20 sample, you then look at, well, how much 239
21 do I have. And if there isn't any 239 in the
22 urine so you can detect, you reject the 238 as
23 being not real.

24 **MR. DARNELL:** Actually, the way this is
25 written it's backwards. You detect the 239

1 but have no 238, you would reject the sample.

2 **MR. PHILLIPS (by Telephone):** The way it's
3 written, go ahead with your analogy, John.

4 **MR. ELLIOTT:** You yourself as a health
5 physicist would question that. When you read
6 it --

7 **DR. MAURO:** I was taught to read it the
8 other way. But you're saying it's written the
9 opposite way.

10 **MR. ELLIOTT:** Right.

11 **MR. DARNELL:** It's written incorrectly.

12 **MR. ELLIOTT:** And that should be our
13 interpretation. You would agree with that.

14 **DR. MAURO:** I was just reading this as you
15 were talking to me, and saying wait a minute,
16 wait a minute, I got it.

17 **MR. ELLIOTT:** Phil was right to ask us to
18 talk about this on the record, and I think
19 that was an important issue.

20 **MR. SCHOFIELD:** Well, I was confused.

21 **MR. PHILLIPS (by Telephone):** I think we're
22 all in agreement as to this makes no sense the
23 way it's written, so it just needs to be
24 addressed. Other than that, John, I think
25 that's all that I would have on secondary

1 issue five unless there's another question.

2 **DR. BRANCHE:** Phil was the one that raised
3 the question.

4 **MR. SCHOFIELD:** No, I think that, the
5 solution we've come up with will be adequate.

6 **MR. CLAWSON:** If I could go back to issue
7 number two when we got in there --

8 **DR. BRANCHE:** Regular issue or secondary?

9 **MR. CLAWSON:** Regular issue.

10 And Jim has left. This was dealing
11 with the tritium and so forth and NIOSH feels
12 that OTIB -- I call it 0061.

13 **MR. DARNELL:** Sixty-six.

14 **MR. CLAWSON:** NIOSH feels this would cover
15 it?

16 **MR. DARNELL:** Yes. Of course, we have to
17 incorporate the, process it to the TBD, or put
18 a reference to the TIB, one way or the other.

19 **MR. ELLIOTT:** That's the easiest.

20 **DR. MAURO:** I think it's going to be an
21 important one because this is something new,
22 and it goes to the heart of one place where we
23 thought that we were going to run into some
24 problems with the dose reconstruction. So,
25 yeah, in my mind when I saw the OTIB-0066, my

1 eyes lit up. I said, oh, okay. Sort of like
2 the high-fired plutonium thing. Same thing
3 here. This is something that I didn't know
4 that there was a well-developed record of
5 empirical data that would allow us to come to
6 grips with this thing.

7 **MR. SCHOFIELD:** That should actually cover
8 the secondary issue number six on plutonium
9 solubility. Would it not?

10 **DR. MAURO:** I think that's a separate issue,
11 right? One is dealing with the tritides and
12 the other...

13 **MR. SCHOFIELD:** I guess that issue could be
14 closed then because they did not process any
15 oxide. Is that what I understand in NIOSH's
16 response?

17 **MR. GLECKLER:** From ^ --

18 **MR. SCHOFIELD:** Yes, secondary issue number
19 six.

20 **MR. GLECKLER:** They didn't process, but the
21 RTG sources contained it.

22 **MR. SCHOFIELD:** But that was the only source
23 of plutonium oxide they had?

24 **MR. GLECKLER:** They had a plutonium-
25 beryllium neutron source there at the start

1 up, near the start up of the plant as well. I
2 don't know when that source left the site. I
3 can't remember. But those are the only
4 sources of plutonium.

5 **DR. MAURO:** I'm sorry, Phil. I had my pages
6 out of order. I was trying to catch up to
7 you. You're looking at secondary issue number
8 --

9 **MR. SCHOFIELD:** Six.

10 **DR. MAURO:** Okay, now that I've got myself
11 back in order again.

12 **MR. SCHOFIELD:** We're looking at the
13 solubility of the plutonium. You know, this
14 is going back to the same issue Rocky has when
15 you've got Super-S.

16 **MR. DARNELL:** Yes, but Rocky processed it.
17 There was no processing done here at Pinellas.
18 The TBD says choose whichever is the most
19 claimant favorable, class S or class M.
20 There's not much more that I think it really
21 needs to say.

22 **MR. SCHOFIELD:** Do you know how many
23 positive samples there were for plutonium in
24 the records?

25 **MR. GLECKLER:** It all comes down to the

1 rejection criteria. It's like based on the
2 rejection criteria that the site used, and
3 that there were none. And that was part of
4 what was being disputed it was the first
5 criteria and the rejection of that first
6 statement in the rejection criteria on that
7 that we need to kind of go back and take an
8 extra look at just to make sure that they
9 didn't kick out any samples that were
10 potentially positive and rule them as being
11 less than detect based on that rejection
12 criteria.

13 Because I'm assuming that the
14 rejection criteria that the plant established
15 was probably the result of some false
16 positives which you will encounter especially
17 when you're measuring low levels in
18 radioactivity. A certain percentage of, if
19 you have 95 percent confidence interval, you
20 should have about, what, five out of 100
21 samples as being false positives.

22 **DR. MAURO:** Let me see, there's a number of
23 -- I'm starting to form a little picture in my
24 head of the plutonium question. What I'm
25 hearing is that the thermoelectric generators

1 used Plutonium-238 with trace levels of -239
2 in triple triple-sealed sources. And that was
3 the only way in which plutonium showed up at
4 the site.

5 **MR. GLECKLER:** With one exception. They had
6 a plutonium-beryllium source much earlier.

7 **MR. DARNELL:** And encapsulated --

8 **DR. MAURO:** And again, that's the standard.
9 Now the way in which a person and the way in
10 which they used this material in the
11 thermoelectric generators is there was no
12 reason to open these up, break them up.

13 **MR. GLECKLER:** They were never opened up.

14 **DR. MAURO:** But there could have been some
15 surface contamination. And the surface
16 contamination would be the way in which a
17 person may inadvertently inhale or ingest some
18 of the residue that might be on these sealed
19 sources.

20 So that brings us to, okay, you take a
21 bioassay to see if you see anything. And then
22 we have the minimum detectable level problem
23 that says, okay, we don't see anything. And
24 now the question becomes if we don't see
25 anything, we're going to have to assign. If

1 we took the urine sample as we always do,
2 we're going to have to say, okay, we're going
3 to have to assign something.

4 That brings us to another issue. It
5 seems like the same ol' story. That is, what
6 do we assign? And what we assign is one-half
7 the MDL. What if the MDL is somewhat
8 uncertain because it varies from case to case.

9 You're position is that, well, for
10 everybody who had a urine sample that was
11 analyzed for plutonium, and it was analyzed
12 apparently for both 238 and 239, there is an
13 MDL unique to that person. That's part of his
14 record and if it came up zero, you would
15 assign one-half that MDL to that person. I
16 don't know the degree to which that's
17 described in the site profile, but that's what
18 the regular plan is.

19 **MR. GLECKLER:** That's the project's
20 approach. That's the standard approach.

21 **DR. MAURO:** Now, if you got a positive
22 reading of 238, we've got some 238. And you
23 would expect also to see some 239 or not. And
24 that's where the five-to-one thing comes in.
25 And what you're saying is that, well, if we

1 see 238 activity ratio, we should see 239 at
2 one-fifth that value. Is that correct?
3 That's what you would expect to see.

4 **MR. DARNELL:** Yes.

5 **DR. MAURO:** If you don't see 239 at one-
6 fifth that value, you reject the 238.

7 **MR. DARNELL:** No. It's the other way
8 around. If you see 239, you should see five
9 times that of 238. But if you have 238, and
10 you don't see 239, that's not a reason to
11 reject the sample.

12 **DR. MAURO:** So you see the 239, which is
13 hard to see, but you do see it. But then you
14 don't see the 238 five times higher there,
15 right?

16 **MR. DARNELL:** Yeah.

17 **DR. MAURO:** Then you're saying, well,
18 something doesn't look right. That means that
19 I have a false positive and you reject the
20 whole --

21 **MR. GLECKLER:** It could be a false positive.

22 **DR. MAURO:** -- could be a false positive.

23 **MR. GLECKLER:** And one of the other reasons
24 for this ratio it like if I remember right I
25 think I remember seeing some documentation

1 regarding where that might have come about.
2 It's like I think the levels that they're
3 looking at they're concerned about being able
4 to detect even exposures to fallout. And that
5 would be dominated by the 239.

6 And that's one way to distinguish it.
7 The use of the ratio's also a way to quickly
8 discriminate that this is ours, but I think
9 the other potential exposure of plutonium that
10 they're concerned about that might show up in
11 the bioassay records was fallout.

12 **DR. MAURO:** Now, my reaction to that is that
13 you're concerned that you have a false
14 positive on 239, and one way to avoid false
15 positives is to see if you've got that 238
16 there also. If you don't have the 238 there,
17 something doesn't sound right. I mean, that's
18 what you're saying. And I can understand
19 that.

20 I guess, I just asked myself this
21 question. If I was in the process of running
22 that program, within the context of that
23 program -- perhaps that makes sense to you
24 within the context of compensation where you
25 want to give the benefit of the doubt to the

1 worker, would you want to do that.

2 In other words use that criteria, and
3 especially if there was a lot of rejected
4 samples. In other words there's a lot of
5 workers where you saw some positive reading on
6 239, but because you didn't see the 238
7 present in the ratio you would expect it,
8 there's something about it that you don't
9 trust, and therefore, you're going to reject
10 that intake that you would normally use.

11 That's a test that you're putting your
12 data through that no other site I know of does
13 that. But it's when you see a positive 239 in
14 your urine, you use it.

15 **MR. GLECKLER:** That's because most other
16 sites that's the predominant plutonium
17 isotope. Whereas, here they're limited to the
18 PU-238-type --

19 **DR. MAURO:** That's the driver.

20 **MR. GLECKLER:** Material which isn't weapons
21 related. It's just a source material.

22 **DR. MAURO:** I'm sort of thinking out loud of
23 what I think would be a reasonable thing to do
24 here. I could understand why a person would
25 do this. Listen, you're going to get the 238

1 there. If you've got 238, you're going to see
2 the 238. Why don't we see the 238? I
3 understand that.

4 But at the same time I'm going to say,
5 geez, am I giving this guy the benefit of the
6 doubt. I did see 239. Granted that it's
7 questionable. So, I don't know. The fact
8 that they adopted that philosophy in their
9 plan, all I'm saying is that is there
10 something necessarily that NIOSH would like to
11 adopt in their dose reconstruction.

12 **MR. DARNELL:** Actually, yes, because we're
13 trying to do a dose reconstruction based on
14 the worker's real exposure. Going to look at
15 239 just because there was a 239 positive that
16 was rejected because there was no 238, you're
17 actually looking at -- like Brian said --
18 fallout or some other --

19 **DR. MAURO:** Something else.

20 **MR. DARNELL:** -- exposure that's not there.

21 **MR. ELLIOTT:** It's not related to the plant
22 operations.

23 **MR. DARNELL:** It's not related to the plant
24 operations. It's not related to reality even.

25 **MR. GIBSON:** But in reality the plant

1 criteria and their rejection criteria, the
2 whole bioassay program, was basically in a lot
3 of these sites incentivized by DOE to keep
4 exposures low. That's how they got their
5 award fees. So their program wasn't set up to
6 be claimant friendly. It was set up to
7 minimize exposures to employees so they can
8 get their award fees.

9 **MR. DARNELL:** You're absolutely right about
10 the award fee, but we also have to draw the
11 line some place around reality. And for this
12 material if you didn't see the five-to-one
13 ratio, and you detected 239, that's not a real
14 result. Even though there's a detect there,
15 it's not a real result because you can't have
16 the 239 without the 238 for this material.

17 **MR. GLECKLER:** I mean, the whole purpose for
18 that criteria as I see it is they had to come
19 up, you know, they were starting to see some
20 of the false positives that they should see on
21 that predicted rate depending on how many
22 samples they analyze it's like for that. And
23 they have to be able to explain that because
24 they also are going to be looking, I suspect
25 that they were also looking at the likelihood,

1 I mean, we've looked at the likelihood of
2 potential exposure.

3 The only real potential for exposure
4 is upon the receipt inspection for these
5 sources. As soon as those sources are
6 received at the site, they're inspected, and
7 then they're also surveyed for smearable
8 contamination. If they're found to be above a
9 certain level, they get shipped back. They
10 never shipped any back.

11 None were ever above that level, but
12 they did, there is indication that they did
13 find some lower levels of contamination on
14 them and they would decon them and that. And
15 this is all performed in a hood. And then
16 after being deconned they would progress into
17 the plant.

18 **MR. DARNELL:** The limit to send back the
19 sources was 200 dpm alpha contaminant. They
20 didn't check whether it was 238 or 239. It
21 was just 200 dpm. And I think the maximum
22 that was recorded was a 20 dpm sample on one
23 sealed source.

24 **MR. GIBSON:** Well, I'm just stating the
25 reality. I realize the sealed sources and

1 everything else, but let's just face it. At
2 these sites if there's any reason to question
3 the results, that was DOE's buzz word, false
4 positive, you know. If there is an unexpected
5 result, they would retest the employee three
6 times, and if it's two out of three, came back
7 negative, you know, well, we got false
8 positive. But on the other hand if there was
9 a true exposure, they would never, or a
10 negative, they would never retested a negative
11 to see if it was a false positive. You still
12 have that same criteria.

13 **MR. GLECKLER:** But there's only going to be
14 like a, you know, there's a small number of
15 people that worked in the RTG areas relative
16 to the rest of the plant. And the people
17 involved with those receipt inspections is an
18 even smaller part of the population. You're
19 talking maybe five people over quite a few
20 years that are routinely exposed or had that,
21 any potential to be exposed during that period
22 of time.

23 It's like after that receipt
24 inspection is performed and any potentially
25 contaminated sources deconned, about the only

1 potential for exposure is if the source is
2 breached. And there's a lot of documentation
3 that's available that indicates that none of
4 those sources were ever breached.

5 **MR. GIBSON:** I'm not necessarily arguing the
6 merits of what you guys are doing, but I'm
7 just saying you need to look at the reality.
8 But that was, the way they operated at the
9 sites was totally different from what we're
10 doing here, so just to take all of their work
11 and data at face value, and their protocols,
12 is not necessarily something to hang your hat
13 on.

14 **MR. DARNELL:** Well, that's one of the
15 reasons why we use the one-half the MDC to
16 calculate missed dose because we do have to
17 rely on records to a certain degree. But we
18 also recognize exactly what you're saying.
19 And I think DOE's point of view and their
20 whole thing was to minimize as much as
21 possible so they didn't have to report to the
22 Department of Energy they had exposures.

23 And in addition, as long as we're
24 talking about reality here, in my experience
25 as a DOE official -- I have no conflict with

1 Pinellas -- it was a feather in the site's hat
2 to send something back that was shipped to
3 them. So if Pinellas could have, they would
4 have sent them back if there was significant
5 contamination, and that would have been a
6 feather in their hat meaning their radiation
7 protection program was working in that
8 particular aspect. That never occurred at
9 Pinellas.

10 So we've got records on one end and
11 records on the other end that are pretty much
12 showing that the contamination monitoring on
13 these sealed sources was a good program. They
14 did catch some contamination, took care of it
15 at the source upon receipt.

16 **MR. GIBSON:** I don't want to belabor the
17 point, but let's just say that if Pinellas had
18 scheduled to get an RTG out the door, and they
19 received a sample that was a little bit over
20 that contamination limit, they'd be ^ put it
21 in the generator and get the generator out the
22 door.

23 **MR. DARNELL:** At that point they'd be guilty
24 of violating a law, and I don't think that
25 would happen.

1 **MR. GLECKLER:** But even the key thing is
2 they deconned before they passed it through
3 the rest of the system so that potential for
4 exposure would be limited to just a very small
5 number of individuals, and it's because it's
6 performed in a hood, the potential's going to
7 be very low for them because you're talking a
8 small amount of surface area because they're
9 fairly small sources.

10 **DR. MAURO:** This five-to-one rule,
11 intuitively you say, sure, it makes sense.

12 **MR. DARNELL:** It's not really a rule. It's
13 a ratio. You look for about that. It doesn't
14 have to be --

15 **DR. MAURO:** Where I'm going with this is
16 that I recall that the biokinetics in 238
17 could be substantially different than 239
18 because of the difference of specific
19 activity. So though you may start with
20 surface contamination or the source that's at
21 an activity ratio of five-to-one, what you
22 might end up in the urine may not carry
23 through because of they're going to go
24 separate ways in terms of because of specific
25 activities if it's inhaled, for example. I

1 might be wrong about that.

2 Where I'm going with this is I know
3 enough to be dangerous here. I would like to
4 ask Joyce Lipsztein, who knows this stuff like
5 the back of her hand, to ask her whether she
6 thinks this general policy for rejection of a
7 positive 239 reading rings true with her as
8 being a reasonable way to deal with this
9 problem if that's acceptable to the work group
10 because Joyce is really our expert on this
11 matter.

12 And I think that, now, I know how
13 important it is, but if all of a sudden, let's
14 say a judgment is made or SC&A comes back
15 with, you know, I think if you got a positive
16 239 reading notwithstanding a 238 reading,
17 let's keep it. Let's make it a keeper. That
18 would probably affect a number of dose
19 reconstructions because all of a sudden you'd
20 be assigning plutonium doses that you didn't
21 before.

22 **MR. DARNELL:** Actually, for a level of 20
23 dpm for an alpha contaminant maximum, still if
24 you want to keep that 239 ^ . Feel free to
25 talk to that lady, but please let her know the

1 actual scope of what this is.

2 **DR. MAURO:** But it was my understanding from
3 talking to Jim that even when you're at the
4 limit of detection for 239, you're still, in
5 other words the amount of 239 you have to
6 inhale to see anything is not insignificant
7 because it's so difficult to detect. So what
8 I'm getting at is that if you do see a
9 detectable level, even though it might be a
10 false positive and might not be based on the
11 ratio approach, I suspect we're not dealing
12 with an insignificant inhalation of Plutonium-
13 239.

14 **MR. DARNELL:** The other thing you have to
15 remember when you're relating this to other
16 sites is at the other site I had plutonium
17 exposure ongoing. I mean every day, every
18 other day, a couple times a week, whatever.
19 But it's an ongoing exposure. You go to
20 Pinellas. You get a shipment in, a couple
21 months later you get another shipment or
22 whatever they're -- I don't know the exact
23 timeframe of the delivery, but that's the only
24 time you have exposure potential, once in a
25 while. So the build-up that you're looking

1 for from the way other sites do it don't occur
2 here.

3 **MR. GLECKLER:** Another thing to keep in
4 mind, too, is just because a person was
5 monitored for plutonium exposure at Pinellas
6 doesn't mean they had the potential to be
7 exposed. So in those instances if they
8 weren't involved with the receipt inspection
9 process, it's not really appropriate to assign
10 a missed dose based on a bunch of negative
11 plutonium bioassay data because it was just a
12 precautionary thing that they were doing that
13 was way and above the requirements.

14 **MR. DARNELL:** There is precedence at other
15 sites for not assigning missed dose for that
16 exact reason.

17 **DR. MAURO:** Not assigning because they were
18 not actually in an area where they could have
19 been exposed. I haven't seen it, but I
20 believe you.

21 **MR. PHILLIPS (by Telephone):** But having
22 said all those things if there was a reason to
23 collect the sample, then you have to treat the
24 sample as having to be evaluated realistically
25 irrespective of the exposure conditions. In

1 other words if you're going to collect the
2 samples, then you have to evaluate each of
3 those samples that there's a potential for
4 them to be positive. And that's what we're
5 talking about in this particular case.

6 **MR. ELLIOTT:** ^ to the sample.

7 **MR. GLECKLER:** Yeah, and that's one thing
8 we've already committed to do is to take a
9 look at that criteria again and look at how it
10 affected some of those samples on that.
11 Because from what I can tell I don't think
12 I've encountered any of the positive bioassays
13 that they applied that to yet. So there may
14 not be any claimants --

15 **DR. MAURO:** It may not have happened. I
16 misunderstood. I thought that happened --

17 **MR. DARNELL:** What we're talking about is
18 bioassay for something that I haven't seen a
19 positive on yet for material that was at an
20 extremely low level of contamination in an
21 extremely tightly controlled area, meaning the
22 fume hoods, that only a few people did but a
23 lot of people got monitored for.

24 It's a lot like the criticality
25 badges. Everybody wore them, but they weren't

1 working in those areas, neutron badges at some
2 of the Oak Ridge facilities the same thing.
3 Everybody wore them, didn't need --

4 **MR. PHILLIPS (by Telephone):** No, that
5 really doesn't relate to what we're talking
6 about. What we're talking about is how you
7 determine if a sample is positive or not.
8 Whether there was a need to take that sample
9 or not has nothing to do with the way you
10 evaluate that sample.

11 **MR. DARNELL:** We've already said we're going
12 to look at that ratio.

13 **MR. ELLIOTT:** I think we've made our
14 commitment.

15 **DR. MAURO:** I just have a question --

16 **MR. ELLIOTT:** I don't know what we --

17 **MR. PHILLIPS (by Telephone):** The other
18 thing just to complete this that's a little
19 troubling is criterion number five, the
20 recovery of the tracer must be greater than 50
21 percent. What they did is they, if the first
22 four did not reject the sample, then they re-
23 analyzed the sample and to a level of where
24 they had a recovery of greater than 50
25 percent.

1 What that should have been applied to
2 is all the samples because what about the
3 samples who were negative but had low
4 recovery? Do we have any information on
5 those? We don't really know the recoveries,
6 right?

7 **MR. GLECKLER:** That I don't know. I mean,
8 it's going to be probably nearly impossible to
9 verify what the plant actually did. I mean,
10 that's documentation that was provided in the
11 site, it's in the site research database that
12 we found. And that indicates what they did
13 and being able to verify exactly what they, or
14 how it's affected and that may be difficult.

15 I mean, we can look at the results
16 that are available on that, but I'm trying to
17 think. I don't think any of that would be
18 censored yet. It's like I think that's just
19 how they, I think they still provide the
20 uncensored results. Well, some of them they
21 do zero out so it's like they wouldn't be
22 censored as zeroes, so it's hard to say
23 without going back and looking because there
24 is some data that the TBD based the table off
25 of that provides more kind of like a

1 collection of bioassay data for quite a few of
2 the individuals at that, that worked in the
3 RTG areas.

4 And I encountered that just recently,
5 and it's like, and that covers for more than
6 just claimants to where I'm only familiar with
7 what I've seen in the claimants' files for the
8 dose reconstructions that I've either done
9 myself or peer reviewed which is probably the
10 majority of the Pinellas cases.

11 So there's more data there to where we
12 might see indications of the positives and get
13 a, you know, but until we have time to take a
14 look at that a little closer, it's kind of
15 hard to say if it's more than just them trying
16 to deal with the false positive issue or not.
17 I suspect that's really all that's going to
18 end up being.

19 **MR. ELLIOTT:** Well, we've committed to re-
20 look at this and revisit it. So I don't know
21 that we're to say anything more. We're
22 beating a dead horse right now.

23 **DR. MAURO:** The question I had, Phil, would
24 you like us to ask Joyce to look at the five-
25 to-one philosophy because --

1 **MR. SCHOFIELD:** Please do.

2 **DR. MAURO:** She'd be able to pretty quickly
3 know whether or not there's any reason to
4 believe that 238 would differ biochemically
5 from 239, and whether or not that criteria is
6 something that you can hang your hat on. She
7 may say that's fine. Or she may say I
8 wouldn't do it for the following reasons.
9 We'll get some feedback from her.

10 **MR. CLAWSON:** That was on secondary issue
11 item number five?

12 **DR. MAURO:** The ratio, yeah, that's right.
13 So that's become something that's probably
14 worth looking at.

15 **DR. BRANCHE:** Do you have another issue?

16 **MR. SCHOFIELD:** No, no.

17 **MR. PHILLIPS (by Telephone):** That's also in
18 primary issue number three as well. This is
19 all part of the both of those.

20 **DR. MAURO:** Okay.

21 **DR. BRANCHE:** It sounds like NIOSH has its
22 list of the things that they want to review.

23 John, you and Chick have noted some
24 things that you want to do. And the
25 communication is with Phil.

1 Phil, do you still believe that you're
2 done?

3 MR. SCHOFIELD: We -

4 REVIEW OF ACTION ITEMS

5 MR. CLAWSON: Yeah, well, one of the things
6 is I would like to review everybody's action
7 items before we get away from here if that's
8 all right with everybody. I'm also going to
9 have to help him because his computer crashed
10 halfway through the middle of it. So we --

11 DR. BRANCHE: I just want to make this
12 clear. He delegated you as the reviewer.

13 MR. CLAWSON: So what I wanted to go back to
14 was item number one. And what NIOSH has said
15 is they're going to look into the feasibility
16 of locating more information on Pinellas, and
17 what the feasibility of that is.

18 For SC&A I have an item that they will
19 look into the data integrity and how robust
20 the data is and who was monitored and why.
21 SC&A will also set up with NIOSH or ORAU on
22 how we can check out the data integrity
23 especially internal dose. Is that correct?

24 DR. MAURO: Yes.

25 MR. CLAWSON: And I don't know if this one

1 really fell into the same place, but I put it
2 under action item number 1 for the Board, is
3 we need to find out if any of the information
4 at Pinellas is classified. And if so, set up
5 a time and a place to be able to review it so
6 we know what's classified and what's not. I
7 think that's more of a Board action there.
8 But, of course, SC&A and NIOSH would be
9 involved with that, too.

10 Item number two, NIOSH feels that
11 OTIB-0066 will cover this and put it into the
12 TBD and then get back with the Board and SC&A.

13 Item number three, NIOSH will show how
14 they did the reconstruction and show the Board
15 and SC&A how they did these runs and how they
16 were performed.

17 Item four, NIOSH will look into the
18 air monitoring ambient air data and if they
19 need to clean up the site profile or TBD,
20 whichever one we want to use.

21 **MR. DARNELL:** That's number four?

22 **MR. CLAWSON:** No, that's where I put it. If
23 I put that in the wrong one, let me know.

24 **MR. DARNELL:** Number four is external dose.

25 **DR. MAURO:** You're listing action items not

1 necessarily coupled to each of the items in
2 the thing.

3 **MR. CLAWSON:** Well, yeah, I was trying to --
4 right in the middle of this we kind of
5 crashed, so I need to make sure this is where
6 the ambient air --

7 **DR. BRANCHE:** Where do you think that what
8 he said should go?

9 **MR. DARNELL:** I forget which one it was that
10 we had the discussion about the tritide
11 monitoring, the boundaries.

12 **DR. MAURO:** Tritium.

13 **MR. DARNELL:** Tritium, excuse me.

14 **MR. CLAWSON:** Well, does this need to be
15 moved to two?

16 **MR. GLECKLER:** Because that's the only thing
17 that I note that I've got for number four is
18 that we need to incorporate the new
19 information in our NIOSH responses in the site
20 profile.

21 **MR. CLAWSON:** Right.

22 **MR. GLECKLER:** But everything we need in
23 that response --

24 **MS. THOMAS:** On the NIOSH side, four.

25 **MR. CLAWSON:** Okay, where did we need to put

1 this ambient air? Was that under two or
2 three?

3 **MR. DARNELL:** It was something that was
4 talking about the boundary samples for
5 tritium. I don't know where that --

6 **DR. MAURO:** That was secondary --

7 **MR. PHILLIPS (by Telephone):** Secondary
8 three, I believe.

9 **MR. CLAWSON:** Secondary three? Okay, I'll
10 clean that up.

11 Let's go to number five. This is
12 where we got into our problem.

13 **DR. BRANCHE:** The computer problem.

14 **MR. CLAWSON:** Computer problem. I have
15 nothing for five, but I thought that SC&A
16 accepted it.

17 **DR. MAURO:** That's correct.

18 **MR. CLAWSON:** So this is okay.

19 **MR. GLECKLER:** The only note that I've got
20 is I need to reflect that in the site profile,
21 NIOSH response information.

22 **MS. THOMAS:** Update the response in the site
23 profile.

24 **MR. CLAWSON:** Then on to number six, and a
25 lot of questions SC&A had when NIOSH addressed

1 the D&D operations in their site profile. And
2 my understanding was NIOSH will look into if
3 they need to update the TBD, Larry, if that's
4 correct.

5 **MR. ELLIOTT:** Is that what you've got, Brian
6 or Elyse?

7 **DR. BRANCHE:** That's what you said.

8 **MR. ELLIOTT:** I know it's what I said --

9 **MR. GLECKLER:** I think we had a stronger
10 commitment ^.

11 **MR. ELLIOTT:** I think we've got --

12 **MR. GLECKLER:** -- because the D&D isn't
13 addressed in there. It won't make, I doubt
14 it, very doubtful that it'll make a difference
15 in how we do our dose reconstruction, but it's
16 information --

17 **DR. BRANCHE:** You're still going to --

18 **MR. GLECKLER:** -- it's stuff that we need to
19 look into and incorporate.

20 **MR. CLAWSON:** I mean, you're going to review
21 that, and if we do need to then, the site
22 profile is going to be updated.

23 Item number seven, NIOSH will look
24 into this and see if they feel that the site
25 profile needs to be changed and will report

1 back to us.

2 **MR. DARNELL:** That was only for Carbon-14.

3 **MS. THOMAS:** Yeah, Carbon-14.

4 **MR. CLAWSON:** Carbon-14, yeah.

5 Number eight, NIOSH will look into how
6 the -- when I say NIOSH, it's NIOSH/ORAU or
7 whatever. NIOSH will look into how the DU bed
8 was used and how it got to the site and will
9 report back, and if needed, change site
10 profile because there was a question on that.

11 Then items nine, ten and eleven were
12 rolled into one. NIOSH will look into going
13 into the site profile and look into change,
14 clean up a little more with the medical X-
15 rays. And for SC&A I just had that these were
16 rolled into one.

17 **DR. MAURO:** Yeah, and we're going to have to
18 --

19 **MR. CLAWSON:** Have somebody look --

20 **DR. MAURO:** The big ticket item has to do
21 with fluoroscopic examinations. We agree that
22 because that has, you know, we're basically
23 ruling them out unless they're in someone's
24 specific record. In other words in effect --

25 **MR. GLECKLER:** Currently, we're assuming PFG

1 X-rays up through 1960, but there is new
2 information available to where we may in the
3 not so distant future try to pull back on
4 that. We may or may not pull back on that,
5 but just brought that to your attention.

6 **MR. CLAWSON:** You're going to look into
7 that, and you'll report back.

8 **DR. MAURO:** Yeah, we're going to have our X-
9 ray guy look into this, your responses, and I
10 think the only thing in my mind is the
11 sensitivity of this. This is something that,
12 depending on where we come out on all this,
13 could make a big difference in the external
14 dose.

15 **MR. GLECKLER:** It makes a big difference in
16 a lot of compensability decisions.

17 **MR. ELLIOTT:** Even though we've got new
18 information, it says to us that PFGs were not
19 used. Am I right?

20 **MR. GLECKLER:** Correct.

21 **MR. ELLIOTT:** And we are using a claimant
22 favorable assumption that they were used up to
23 a certain timeframe. We're not going to back
24 away from that. That's the way NIOSH policy's
25 working right now. We didn't have the

1 information when we started doing these
2 claims, and we've seen a number get comped
3 because we're using PFG.

4 We're not backing away from that. So
5 we're going to finish the claims out for
6 Pinellas with that assumption. We'll
7 characterize more clearly and appropriately
8 how we're dealing with X-rays in the site
9 profile. That's what we committed to.

10 **MR. CLAWSON:** Okay. And that's basically
11 where we're at.

12 **MR. ELLIOTT:** I'm sorry, Brian, I don't want
13 to override you, but NIOSH's policy says we're
14 not going to downgrade doses just because in
15 this instance we got better information that
16 says that PFG wasn't there. Since we've
17 already comped them it wouldn't be fair. It's
18 another disparity that would be created in the
19 program. And Lord knows this law's got enough
20 disparities in it already.

21 **MR. DARNELL:** Actually, what I thought we
22 said we'd do is provide the record of the
23 nurse interview and --

24 **MR. ELLIOTT:** Yeah, yeah, we'll do that.

25 **MR. DARNELL:** -- and just put the record --

1 **DR. MAURO:** We're building a record on this.

2 **MR. CLAWSON:** That took care of all the
3 primary ones. We went to the secondary ones
4 which number five, NIOSH will clean up the
5 information on PU-238 and the 239 on the site
6 profile. SC&A will look into this and report
7 back to us. And I can't remember who you had,
8 who was going to do it. That's up to you, and
9 I won't even try to spell that one.

10 And then we had, I thought we had one
11 other --

12 **DR. MAURO:** We had two others, I think.

13 **MR. DARNELL:** Yeah, secondary issue three
14 and four.

15 **MR. CLAWSON:** Secondary two --

16 **MR. DARNELL:** No, three and four.

17 **MR. CLAWSON:** Okay, let me go back and see
18 if I -- okay, what do we have on three?

19 **DR. MAURO:** My recollection it had to do
20 with tritium monitoring at the site boundary
21 and compare the results of the monitoring
22 program, the actual measurements, to the
23 predicted values based on CAP-88.

24 **MR. CLAWSON:** Got it.

25 **DR. MAURO:** And four, a little bit more

1 discussion on certain, sounds like discussions
2 we had.

3 **MR. ELLIOTT:** Or three standard deviations.

4 **MR. DARNELL:** Right, it has to do with the
5 dispersion level.

6 **DR. MAURO:** Right, dealing with
7 environmental exposures.

8 **MR. CLAWSON:** And did NIOSH have anything on
9 that? Were they -- I think this was more
10 SC&A.

11 **DR. MAURO:** The uncertainty question?

12 **MR. ELLIOTT:** I think that was ours. That's
13 ours. Both three and four are NIOSH.

14 **MR. CLAWSON:** Okay, I'll move that over.

15 And four? I got three, but were three
16 and four both those items?

17 **DR. MAURO:** No, no, four was the uncertainty
18 one. They both deal with environmental
19 issues. Three deals with looking at the
20 tritium data itself, the measurements and site
21 boundary readings.

22 **MR. DARNELL:** And how that coordinates with
23 the models.

24 **DR. MAURO:** With the models in terms of
25 model validation.

1 And separate from that, number four,
2 had to do with uncertainty in this
3 environmental modeling and address it, I
4 guess, a little more completely than it
5 currently is in the site profile.

6 **MR. CLAWSON:** And that's all I have.
7 Anything else?

8 **DR. MAURO:** I have a question in the
9 mechanics of all this. Is this something
10 where, I guess, a white paper comes out or is
11 it something where we just sit and wait until
12 the next version of the site profile comes
13 out?

14 In other words in the past usually
15 when we come conceptually to some agreement on
16 some actions to be taken, as an interim for
17 the next work group meeting, one or more white
18 papers are issued saying, okay, we were asked
19 to do this, this, this and this. We did it,
20 and here's what we found. And we sort of
21 distribute it before the meeting, and then we
22 chance to sort of say, okay, it looks good.
23 Is that how we're going to act on this? Both
24 from SC&A's and NIOSH -- because it's really a
25 question that goes to both.

1 **MR. SCHOFIELD:** I think an actual white
2 paper needs to be issued. So I think that's
3 your approach.

4 **DR. MAURO:** Put something --

5 **MR. SCHOFIELD:** Yeah, just put it --

6 **DR. MAURO:** I call it a white paper. Just
7 write something down before the next meeting.

8 **MR. SCHOFIELD:** Right.

9 **MR. DARNELL:** I'd like to work with either
10 you or Chick in doing this, but I'm unsure of
11 the procedures that we follow.

12 **MR. ELLIOTT:** I think that's appropriate. I
13 think you guys can work together. And maybe
14 the way, you know, that we capture in paper is
15 in the matrix, very concise, short responses.
16 I think that we'll -- they don't have to be as
17 elaborate as what we may do in the revision of
18 a site profile.

19 **DR. BRANCHE:** But if they prove to be of a
20 certain length, then you would.

21 **DR. MAURO:** In the past when we do
22 collaborate, the technical people from NIOSH
23 and from SC&A, talk to each other about
24 matters, we have in the past done that. But
25 when we do that, someone puts out a minutes

1 for the benefit of the work group that this
2 communication has occurred, document that it
3 occurred. In some cases the work group
4 members like to sit in on it. So before we do
5 that, we probably want to just check in with
6 Phil and make sure that we're about to do
7 this. You may want, you or any other work
8 group member, may want to sit in on that
9 conversation.

10 **DR. BRANCHE:** That will be at the full
11 discretion of ^.

12 **MR. SCHOFIELD:** Did you want to say
13 something, Brad?

14 **MR. CLAWSON:** I just wanted to say we just
15 wanted to be kept apprised of what was going
16 on and we have an idea of the issues and so
17 forth.

18 **DR. MAURO:** What we're going to do is if
19 we're going to schedule a telephone call,
20 we'll let you know beforehand that we're about
21 to do that. And, of course, you folks can
22 decide whether you want to join us or not.

23 **MR. SCHOFIELD:** Okay, yeah, that'd be --

24 **DR. BRANCHE:** Please make sure you copy me.

25 **DR. MAURO:** And I will certainly copy you.

1
2
3
4
5
6
7
8
9

DR. BRANCHE: Mr. Schofield, is there anything else?

MR. SCHOFIELD: I think that's it unless somebody else has something for us to reconsider or for consideration.

DR. BRANCHE: I believe we're adjourned.
(Whereupon, the working group meeting concluded at 12:30 p.m.)

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of June 11, 2008; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 22nd day of July, 2008.

STEVEN RAY GREEN, CCR, CVR-CM, PNSC**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**