

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
PUBLIC HEALTH SERVICE
CENTERS FOR DISEASE CONTROL AND PREVENTION
NATIONAL INSTITUTE FOR OCCUPATIONAL SAFETY AND HEALTH

convenes the

WORKGROUP MEETING

ADVISORY BOARD ON
RADIATION AND WORKER HEALTH

SANTA SUSANA

The verbatim transcript of the Workgroup Meeting of the Advisory Board on Radiation and Worker Health held at the Airport Marriott, Hebron, Kentucky, on August 26, 2008.

STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTERS
404/733-6070

C O N T E N T S

August 26, 2008

WELCOME AND OPENING COMMENTS	6
MR. TED KATZ, DFO	
INTRODUCTION BY CHAIR	10
MR. MIKE GIBSON	
MATRIX REVIEW:	22
4.1-1	23
4.1-2	35
4.2-1	36
4.2-2	43
4.2-3	58
4.2-4	64
4.2-5; 4.2-6	72
4.3-1	73
4.3-2	79
4.4-1; 4.4-2	81
4.4-3	92
4.4-4	107
4.4-5	109
4.4-6	117
4.5-1	124
4.5-2	140
4.5-3; 4.5-4	146
4.5-5	168
4.5-6	175
4.5-7	176
4.5-8; 4.5-9	186
4.5-10	188
4.5-11	190
4.5-12	191
4.5-13	198
4.5-14	200
4.5-15	204
4.5-16	207
4.6-1	208
4.6-2, 3, 4	210
4.6-5	215
4.6-6, 7	218
COURT REPORTER'S CERTIFICATE	237

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (phonetically) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- ^/ (inaudible)/ (unintelligible) signifies speaker failure, usually failure to use a microphone.

P A R T I C I P A N T S

(By Group, in Alphabetical Order)

DESIGNATED FEDERAL OFFICIAL (Acting)

KATZ, Theodore M., M.P.A.

Program Analyst

National Institute for Occupational Safety and Health

Centers for Disease Control and Prevention

Atlanta, Georgia

BOARD MEMBERSHIPWORKGROUP CHAIR:

GIBSON, Michael H.

President

Paper, Allied-Industrial, Chemical, and Energy Union

Local 5-4200

Miamisburg, Ohio

BEACH, Josie

Nuclear Chemical Operator

Hanford Reservation

Richland, Washington

GRIFFON, Mark A.

President

Creative Pollution Solutions, Inc.

Salem, New Hampshire

MUNN, Wanda I.

Senior Nuclear Engineer (Retired)

Richland, Washington

SCHOFIELD, Phillip

Los Alamos Project on Worker Safety

Los Alamos, New Mexico

IDENTIFIED PARTICIPANTS

ADAMS, NANCY, NIOSH
BARRIE, TERRIE, ANWAG
BEHLING, HANS, SC&A
BERONJA, GREG, SC&A
BROEHM, JASON, CDC
BURGOS, ZAIDA, NIOSH
DALY, CECILIA, CONG. GALLEGLY
ELLIOTT, LARRY, NIOSH
HIRSCH, DAN, PETITIONER
HOMOKI-TITUS, LIZ, HHS
HUGHES, LARA, OCAS
KLEA, BONNIE, PETITIONER
KOTSCH, JEFF, DOL
MAKHIJANI, ARJUN, SC&A
MAURO, JOHN, SC&A
MORRIS, ROBERT, ORAU
NETON, JIM, NIOSH
POTTER, GENE, ORAU
RUTHERFORD, PHIL, BOEING CO.
THOMAS, ELYSE, ORAU

P R O C E E D I N G S

(10:00 a.m.)

WELCOME AND OPENING COMMENTSMR. TED KATZ, DFO

1 **MR. KATZ:** Good morning. Good morning. This
2 is Ted Katz. I'm the Designated Federal
3 Official -- Acting -- for the Advisory Board on
4 Radiation and Worker Health, and this is the
5 first meeting of the workgroup on Area Four of
6 the Santa Susana Field Laboratory site profile
7 and SEC. It's the first meeting, and we're
8 just going to run through some administrative
9 work and then we're going to turn it over to
10 the Chair, Mike Gibson.
11 So first thing is running through roll call and
12 conflict of interest statements. So starting
13 with the Board present in the room, if you'd go
14 round, starting with Mike, and identify
15 yourselves, please.

16 **MR. GIBSON:** Mike Gibson, Advisory Board, no
17 conflicts.

18 **MS. BEACH:** Josie Beach, Advisory Board, no
19 conflict.

20 **MR. SCHOFIELD:** Phillip Schofield, Advisory

1 Board, no conflict.

2 **MR. KATZ:** And then Wanda?

3 **MS. MUNN:** Wanda Munn, Advisory Board, no
4 conflict.

5 **MR. KATZ:** Okay, and are there any other
6 Advisory Board members present on the phone?

7 (No responses)

8 Okay, so we do not have a quorum, which is good
9 for a workgroup meeting.

10 Now going to the ORAU/NIOSH team, if you'd --
11 starting in the room, please.

12 **MR. ELLIOTT:** Larry Elliott, OCAS, no conflict.

13 **MS. THOMAS:** Elyse Thomas, ORAU team, no
14 conflict.

15 **DR. NETON:** Jim Neton, OCAS, no conflict.

16 **MS. HUGHES:** Lara Hughes, OCAS, no conflict.

17 **MR. MORRIS:** Robert Morris, Oak Ridge team, no
18 conflict.

19 **MR. KATZ:** Okay. And then on the phone,
20 NIOSH/ORAU?

21 **MR. POTTER:** Gene Potter, ORAU team, no
22 conflict.

23 **MR. KATZ:** Okay, and now SC&A in the room,
24 please.

25 **DR. MAURO:** John Mauro, SC&A, no conflict.

1 **MR. BERONJA:** Greg Beronja, SC&A, no conflict.

2 **MR. KATZ:** And then on the line, SC&A, please?

3 **DR. BEHLING:** Hans Behling, SC&A, no conflict.

4 **MR. KATZ:** Great. And now do we have any
5 Congressional staff who would like to identify
6 themselves for the record?

7 **MS. DALY:** This is Cecilia Daly with
8 Congressman Gallegly's office.

9 **MR. KATZ:** I'm sorry, could you please just
10 repeat that? It was hard to hear.

11 **MS. DALY:** Cecilia Daly with Congressman
12 Gallegly's office.

13 **MR. KATZ:** Congressman Gallegly's, with Celia
14 Daly. Is that correct?

15 **MS. DALY:** Cecilia, but close enough.

16 **MR. KATZ:** Cecilia -- Cecilia Daly, Congressman
17 Gallegly's -- thank you, and welcome.

18 **MS. DALY:** Thank you. And -- and now I believe
19 we may have the petitioner for Santa Susana on
20 the line. Is that correct?

21 **MS. KLEA:** Yes, good morning. This is Bonnie
22 Klea and I'd like to thank you, Cecilia, for
23 getting on the line this morning.

24 **MS. DALY:** Oh, sure.

25 **MS. KLEA:** I didn't know you were going to be

1 here.

2 **MR. KATZ:** Okay, and welcome, Bonnie.

3 **MS. KLEA:** Thank you.

4 **MR. KATZ:** I'm glad you could make it.

5 **MS. KLEA:** Yes, thank you.

6 **MR. KATZ:** And now are -- are there any other
7 public members who would like to identify
8 themselves?

9 **MR. RUTHERFORD:** Yes, this is Phil Rutherford
10 from the Boeing Company. Good morning.

11 **MR. KATZ:** Good morning.

12 **MR. ELLIOTT:** Could you get his first name
13 again?

14 **MR. KATZ:** Phil Rutherford.

15 **THE COURT REPORTER:** The Boeing Company?

16 **MR. KATZ:** Boeing Company. Any others? And
17 then last but not least, any other -- any other
18 NIOSH or federal employees on the line, please?

19 **MS. HOMOKI-TITUS:** Liz Homoki-Titus, HHS.

20 **MR. KATZ:** For HHS, thank you.

21 **MS. ADAMS:** Nancy Adams, contractor to NIOSH.

22 **MR. BROEHM:** Jason Broehm, CDC.

23 **MR. KOTSCH:** Jeff Kotsch, Department of Labor.

24 **MS. BURGOS:** Zaida Burgos, NIOSH.

25 **MR. KATZ:** That's Zaida Burgos. Okay, any

1 more?

2 **MS. BARRIE:** This is Terrie Barrie with ANWAG
3 on the line.

4 **MR. KATZ:** Oh, welcome, Terrie.

5 **MS. BARRIE:** Thank you.

6 **MR. KATZ:** Any others?

7 (No responses)

8 Okay, then I will -- one last remark --
9 administrative remark and then I'll turn it
10 over to Mike. That is, everyone who's on the
11 line if you would please mute your phones it'll
12 -- it just keeps from -- the phone disturbance
13 in the room. So if you don't --

14 **UNIDENTIFIED:** (Unintelligible) star-6.

15 **MR. KATZ:** Star-6, right, star-6 or a mute
16 button, either one works.

17 **UNIDENTIFIED:** Okay.

18 **MR. KATZ:** And the last thing is please, if you
19 do disconnect sometime during the call, please
20 don't put it on hold. Just completely
21 disconnect and call back in 'cause -- 'cause
22 the hold function also disrupts the calls for
23 the other listeners.

24 Thank you very much. And Mike, it's all yours.

25 **INTRODUCTION BY CHAIR**

1 **MR. GIBSON:** Thanks, Ted. This -- as Ted said,
2 this is the workgroup on Area Four of the Santa
3 Susana Field Lab site profile and SEC. Today's
4 agenda's pretty simple. We're just going to
5 start with the -- the NIOSH site profile
6 review, and SC&A has taken a look at that and
7 they've made some comments that we have here in
8 a matrix. And then this morning we got a paper
9 copy of a draft response from NIOSH, which I
10 think John's probably reviewing right now. So
11 I guess what we'll do is we'll just start with
12 the issues matrix and maybe let NIOSH give a
13 little bit of explanation for their response
14 and give John a little time to think about it
15 and respond to it.

16 **DR. NETON:** Could I -- this is Jim Neton, I --
17 start with a little clarification of what we
18 really want to accomplish today, because I
19 think this is sort of a unique situation in
20 that this is a site profile review that has
21 been sort of in the middle of an ongoing SEC
22 petition process. And it's my understanding
23 that SC&A reviewed the site profile with an eye
24 toward SEC issues, but I don't know that SC&A
25 actually reviewed the evaluation report as

1 well.

2 **MR. BERONJA:** We looked at the evaluation
3 report, but it wasn't really a formal review at
4 all.

5 **DR. NETON:** Right.

6 **MR. BERONJA:** We focused on the site profile
7 and just said if there were comments that were
8 applicable to the SEC, we noted those. That
9 was -- and we can comment a little bit more
10 beyond that, but that's primarily what we did,
11 so it's kind of a, you know, superficial look.

12 **DR. NETON:** Yeah, see, I guess maybe the -- the
13 thing in my mind is that the site profile was
14 written not with necessarily the intent of
15 doing all dose reconstructions. It was written
16 with the intent of providing the best foot
17 forward on what we could do for current dose
18 reconstructions in-house, and by definition
19 it's not necessarily a totally complete
20 document. Whereas the evaluation report for
21 the SEC really is supposed to be that, in the
22 sense that it should address how we would
23 approach all dose reconstructions for the whole
24 class. So we have a little bit of a disconnect
25 there in my mind. It doesn't mean we can't

1 proceed, but I just -- keep that in mind, and
2 do we want to evaluate these items -- do you
3 want to essentially do -- what we did in the
4 past is sort of scrub this list of 39 findings
5 for SEC-related issues, or do we want to just
6 go about closing them all one by one or
7 discussing closure? I'm not sure -- I guess
8 it's Mike's prerogative how we want to move
9 forward.

10 **MR. GIBSON:** Well, one thing I don't think
11 we're going to do, in my opinion, is we're not
12 going to close issues. We can discuss them and
13 try to get a better feeling for them, but it is
14 unfortunate that DOE hasn't released the
15 material yet so the -- the plaintiffs and the
16 petitioners have not had a chance to see it.
17 So you know, I am going to hold actions open
18 that, you know, the petitioners can come back
19 and -- once they see the material, if they have
20 an issue that we'll address.

21 **DR. NETON:** But I -- I guess the situation is
22 right now that NIOSH in April, I believe,
23 presented our evaluation report and recommended
24 that at least two years be added to the SEC,
25 and that's being held in abeyance by the Board

1 until the SEC -- until this process, I guess,
2 can inform the full Board better. So is it --
3 is it better for us at this point to identify
4 SEC-related issues that really need to be
5 evaluated in depth, you know, or -- or just
6 leave everything open as a site profile issue
7 at this point?

8 **MR. ELLIOTT:** We would propose to focus on the
9 SEC issues so that the -- it would inform the
10 Board's deliberations. Not -- we're not
11 pushing to close issues, Mike. We -- we're
12 pushing -- here. If we're pushing anything,
13 it's to identify what findings SC&A have from
14 our site profile that are relevant to the SEC
15 petition evaluation so that that can move
16 forward as expeditiously as it possibly can.
17 That's what I think we're asking for.

18 **MR. GIBSON:** Okay, yeah, that's fine. It's --
19 you know, we don't want to see the -- the SEC
20 petitions held up any longer than necessary, so
21 that's fine.

22 **DR. MAURO:** I have one thought. Basically we
23 did a site profile review, and -- and in our
24 judgment there were certain issues that emerged
25 that we said -- and this is purely an SC&A

1 perspective -- that would appear to be
2 something that might be of concern from an SEC,
3 taking into consideration the evaluation report
4 and also taking into consideration our
5 judgments on what constitutes something that
6 might represent an SEC issue. And that's what
7 that last chapter is about in our -- in our
8 report.

9 **MR. GIBSON:** Uh-huh.

10 **DR. MAURO:** Now one of the things that we
11 didn't do that's important and that often is
12 done on an SEC evaluation report review is we
13 go in and we do what we call a data adequacy
14 and completeness analysis, which is something
15 that is generally a little bit more in depth
16 than what we do normally in a site profile
17 review. For example, as you're probably aware,
18 on Fernald and on Nevada Test Site right now
19 we're in the midst of a formal review of
20 specific aspects of the SEC petition dealing
21 with the data adequacy. For example, internal
22 dosimetry is an issue here, and it's an issue
23 in many of these sites. And one of the things
24 that often a working group and the Board
25 requests SC&A to do when we are engaged in an

1 SEC process is to go into the records and to
2 confirm that yes, there are sufficient, for
3 example, internal dosimetry records from the
4 perspective of years when work was going on,
5 different facilities and activities that were
6 going on, different job categories. And
7 usually what we normally do is do a sampling of
8 the -- the actual data and get a sense of the
9 completeness and robustness of the data from
10 the point of view not only of doing the dose
11 reconstructions for the workers themselves that
12 have the data, but also from the point of view
13 of building a coworker model that, from the
14 population of datasets that do exist, in theory
15 you can use that data to build a coworker
16 model. These are always very fundamental to
17 addressing SEC-related issues.

18 I don't believe any of that level of analysis
19 was done in this particular site profile review
20 --

21 **MR. GIBSON:** Uh-huh.

22 **DR. MAURO:** -- so from that perspective it
23 would be inappropriate to -- to refer to it as
24 an SEC petition review. It was more an
25 introduction to some of the areas we think

1 might be of interest to the -- and quite
2 frankly I -- I guess I have a question to the
3 workgroup. I don't recall whether this
4 workgroup has the dual mission of both SEC and
5 site profile or only site profile. I forget
6 (unintelligible) was authorized.

7 **MR. GIBSON:** Yeah, we have both -- both.

8 **DR. MAURO:** We have both. Okay.

9 **MR. BERONJA:** I guess the other comment I'd
10 made is that I think SC&A is very open to
11 discussing all these issues that have been
12 noted as SEC issues. I think some of them are
13 border line. There's also some that have not
14 been noted as SEC issues that we believe may be
15 SEC issues, so I think the discussion here --
16 you know, if we go that direction -- would be
17 beneficial, coming from both sides there.

18 **DR. NETON:** Yeah, we have no problem. We've
19 provided responses to the extent we can, given
20 that this is a fairly new review. I mean we
21 haven't had this in our possession -- I guess
22 it came out in August, early August sometime,
23 and we've gone through them point by point and
24 have some draft responses here we're more than
25 happy to go through and discuss one by one. I

1 think there's 39 findings, if I counted right.

2 **MS. MUNN:** This is Wanda. I have a request.
3 My first question was going to be do we have
4 any NIOSH responses at all to any of the matrix
5 items, and I'm just hearing that there are some
6 responses this morning. I do not believe I
7 have received them. My e-mail is silent on
8 that issue.

9 **MR. ELLIOTT:** You have not. You have not,
10 Wanda.

11 **DR. NETON:** We did not distribute them
12 electronically. We can --

13 **MR. ELLIOTT:** This was just recently generated
14 and it's --

15 **MS. MUNN:** Yeah, I gathered that.

16 **MR. ELLIOTT:** -- not been Privacy Act reviewed.

17 **MS. MUNN:** Is there any possibility that I
18 could get it as a single --

19 **DR. NETON:** We have to work on that. I'm
20 trying to figure out the best way to do that.

21 **MS. BEACH:** I can send her one, or you can mail
22 one.

23 **DR. NETON:** No, no, we can -- we can e-mail
24 one. I think I can e-mail it as long as it
25 goes directly to Wanda.

1 **MS. MUNN:** Yeah.

2 **DR. NETON:** If I can figure out where I can get
3 an electronic copy right now, get my hands on
4 one.

5 **MS. MUNN:** If you can, I'd appreciate it.
6 Otherwise I can operate blind.

7 **DR. NETON:** I think I might have it on my
8 BlackBerry, so bear with me and continue with
9 the conversation. I'll see if I can forward it
10 to you.

11 **MS. MUNN:** That'd be helpful. Thank you, Jim.
12 I'll be looking at my e-mail screen to see. My
13 second question is for John or other SCA
14 members --

15 **DR. NETON:** I'm sorry, we have one on the
16 laptop. We need your e-mail address, though,
17 Wanda.

18 **MS. MUNN:** W-i-m-u-n at AOL.com.

19 **DR. NETON:** Great, okay. You should be getting
20 it shortly. Thanks.

21 **MS. MUNN:** Thank you.

22 **DR. NETON:** Sorry for that, but I didn't
23 realize that you weren't going to be here this
24 morning.

25 **MS. MUNN:** Yeah, well, sorry. I would have if

1 I could have.

2 There's -- the other question is, John, from
3 your rough estimation, how many of these matrix
4 items that we have before us would you
5 guesstimate to be somewhere in the -- in the
6 realm of -- of SEC-related rather than -- than
7 general comment for the TBD?

8 **DR. MAURO:** The -- our site profile review, the
9 document, has -- I believe it's chapter five,
10 the la-- last chapter, has a separate section
11 that answers that question. That is -- and I
12 think there's a handful of them, I'd have to
13 count them --

14 **MS. MUNN:** That's all right. There's no need.
15 I just wanted to get a general feel.

16 **DR. MAURO:** We did -- we -- we broke them out,
17 and I don't recall the number, but --

18 **MS. MUNN:** That's okay. We'll -- we'll get to
19 that I'm sure as we go through it later in the
20 day.

21 **MR. KATZ:** Okay, Wanda, it was just e-mailed to
22 you so it -- it -- however it takes to go
23 through the servers, it'll be there.

24 **MS. MUNN:** Thank you, I appreciate that.

25 **MS. BEACH:** I have a count of 17 SEC issues

1 listed from SC&A.

2 **MR. BERONJA:** Within the site profile review.

3 **MS. BEACH:** Yeah.

4 **MR. BERONJA:** And then I think we actually had
5 six issues as part of the site -- six broad
6 issues as part of the site profile review in
7 that section five.

8 **DR. MAURO:** If I -- I have a suggestion,
9 thinking about how best to go forward, given
10 this duality. My sense is to go through --
11 this might be a little bit different than your
12 perspective -- one by one, and I'll tell you
13 why I think it might -- because as we march
14 through, we'll be in a position around a table
15 to have a general sense of yes, we do agree
16 that this seems to be something that would be
17 an SEC or not. And -- as opposed to
18 immediately jumping to the SECs that we
19 perceived as being -- which may -- everyone may
20 not agree to that.

21 **DR. NETON:** I -- I agree with that.

22 **MR. ELLIOTT:** Yeah.

23 **DR. NETON:** I think some of these will go
24 quickly. I mean there's a number of these are
25 more administrative, quite frankly.

1 **MR. ELLIOTT:** I think all we're asking for,
2 John, is to come out of this meeting with a
3 sense of what SEC issues have been identified
4 that we both can start working on.

5 **DR. MAURO:** I -- I guess that I --

6 **MR. ELLIOTT:** That's where we want to be when
7 we leave today, if that's satisfactory to the
8 Chair. You know --

9 **DR. NETON:** Or even close some of the SEC
10 issues --

11 **MR. ELLIOTT:** Yeah, if we can close them, all
12 the better, but --

13 **DR. NETON:** -- provide responses.

14 **MR. ELLIOTT:** -- but that's a...

15 **DR. MAURO:** Sure, that's fine.

16 **MATRIX REVIEW**

17 **MR. GIBSON:** Yeah, let's just -- let's start
18 through the matrix then and --

19 **DR. NETON:** And do we want SC&A to --

20 **MR. GIBSON:** -- identify the issues.

21 **DR. NETON:** -- sort of like give a little brief
22 summary of what their concern or finding was
23 and then we can sort of provide our discussion
24 points on that?

25 **MR. BERONJA:** Sure, we can do that. And -- and

1 just for clarification, the issue numbers
2 really correspond with the particular issue
3 numbers in the site profile, to make them
4 consistent. And John, I'm not sure if
5 historically that's how it went -- how it had
6 been done, but that's how I did it here.

7 4.1-1

8 The first particular one as far as the presen--
9 presentation of dates is that there was some
10 inconsistency as far as when activities
11 actually began in Area Four, so that was the
12 general comment there. There were some -- you
13 know, a lot of -- there were some comments on
14 '53, some on '55, some later. And actually --
15 I mean from a consist-- you know, it would be
16 more of an observation issue except for the
17 fact that the SEC is pinned to 1955. So to the
18 extent that we're talking about earlier -- an
19 earlier period, that becomes more of an issue.

20 **MS. HUGHES:** To -- to answer that, the 1955
21 with the SEC is because the covered period for
22 that site starts in 1955, even though nuclear
23 operations started in 1953. Other than that,
24 the -- the point you raise that Santa Susana
25 Field Lab was founded in 1966 is clearly a typo

1 and it -- it will be corrected in the next
2 revision after the site profile.

3 **MR. BERONJA:** So when -- when you say the
4 covered period, that just means from a legal
5 standpoint as part of the law and what --
6 what's got to be covered?

7 **MS. HUGHES:** Yes, DOE issues a date range that
8 -- when this site is covered under this Act.

9 **MR. BERONJA:** Uh-huh.

10 **MS. HUGHES:** That starts in 1955, versus
11 operations started up in 1953.

12 **MR. BERONJA:** Uh-huh.

13 **MS. HUGHES:** So that might cause a little bit
14 of confusion.

15 **MR. BERONJA:** Okay.

16 **DR. NETON:** And we agree that there's a -- a
17 typo in the document and we'll definitely
18 correct that. I don't sense this actually
19 arises -- raises to the level of --

20 **DR. MAURO:** But -- but -- well, it was a good
21 point, though, 'cause one of the concerns I did
22 have as part of the review team, and the idea
23 that right now the SEC period was '55 -- I
24 believe '55 to '58 --

25 **MR. BERONJA:** Right.

1 **DR. MAURO:** -- and I did notice that we did
2 have a number of comments where there were some
3 data inadequacies as we proceeded pre-1955 --
4 especially related to activities in internal
5 exposures. But I think what I heard you say is
6 that's really off the table because by
7 definition that time period is not covered
8 under the Act.

9 **MS. HUGHES:** Yes, we did not -- we do not
10 consider pre-1955 really.

11 **DR. MAURO:** Okay. So there were no MED or AEC
12 -- I guess it would be AEC -- contract
13 activities going on at Santa Susana prior to
14 '55, and that's an important issue related to
15 SEC.

16 **MR. ELLIOTT:** We can only rely on DOE's review
17 and establishment of the covered period.

18 **DR. MAURO:** Okay.

19 **MR. ELLIOTT:** If there are information that
20 come to light that would argue that the dates
21 are not accurate that DOE has established, then
22 we would share that with the Department of
23 Energy and ask them to review it. So if -- if
24 that's -- come to your -- to your notice, we
25 would appreciate having such so we can pass it

1 along.

2 **DR. MAURO:** Yeah, we don't have any information
3 to the contrary. I was just --

4 **MR. BERONJA:** You know, I guess the only other
5 comment -- maybe in the evaluation report, I'm
6 not sure if that was elaborated on as far as
7 the covered period, just to differentiate maybe
8 before activities before '55 or after '55, and
9 you know, the reliance on '55 might be working
10 on -- that might be off the table right now but
11 as far as the evaluation report, I don't
12 remember that being --

13 **MS. HUGHES:** Well, I do believe the suggested
14 class was -- yeah, starting 1955, I believe
15 January, 1955. I would have to look it back up
16 and on to December 1958 --

17 **MR. ELLIOTT:** It's based upon the covered
18 period.

19 **DR. MAURO:** Yeah, see, that's -- I think that's
20 the essence of it, the -- in essence, there's a
21 contract. And if there's a date of the
22 contract with the AEC that says it started in
23 '55, and before that I guess what, commercial
24 operations?

25 **MS. HUGHES:** Yes.

1 **DR. MAURO:** And if they were commercial
2 operations, they're off the table.

3 **MR. ELLIOTT:** We were probably not explicit in
4 our language in the evaluation report on that
5 point. It's -- it's an implication.

6 **MR. BERONJA:** And I guess the other question
7 I'd ask, and I don't -- I don't know the rules
8 and everything like you guys do, but as far as
9 the petitioner petitioning from a certain
10 period, if it's discovered that the period
11 really should have been beforehand, does --
12 does the group then take that into account and
13 move it back or just rely on what the
14 petitioner has requested?

15 **MR. ELLIOTT:** We would -- we would consult with
16 the petitioner --

17 **MR. BERONJA:** Uh-huh.

18 **MR. ELLIOTT:** -- and if the petitioner's
19 definition said, in this case, 1953, we would
20 counsel the petitioner that that period of '53
21 to '55 is not part of the covered period.

22 **MR. BERONJA:** Uh-huh.

23 **MR. ELLIOTT:** The petition would not be valid -
24 -

25 **MR. BERONJA:** Uh-huh.

1 **MR. ELLIOTT:** -- unless they had information
2 contrary to that.

3 **MR. BERONJA:** But you would do the same thing
4 if it was the other way around, if the
5 petitioner did '55 and you discovered there
6 were actually AEC or activities --

7 **MR. ELLIOTT:** We'd go to DOE and we'd say you
8 need to review this information and determine -
9 - and the Department of Labor -- and determine
10 whether or not the covered facility designation
11 needs to be changed.

12 **MR. BERONJA:** So maybe the result of all this,
13 at least in my opinion, is that I don't think
14 this is an SEC issue if there's pretty good
15 documentation that there were no AEC or covered
16 activities prior to 1955, so as long -- as long
17 as we can kind of, you know, kind of stand
18 behind that, I don't think this was an SEC
19 issue. So maybe that's something that one of
20 the parties needs to just confirm and say yeah,
21 we don't -- we know that there weren't any of
22 these activities and provide references. Did
23 that make sense?

24 **DR. NETON:** To some degree, yes, but we -- you
25 know, we're -- we are normally not in the

1 business of going and re-verifying what the
2 Department of Energy and Department of Labor
3 have established as the legally covered period.

4 **MR. BERONJA:** Oh, okay.

5 **DR. NETON:** They do this up front. They do a -
6 - a fairly extensive evaluation of contracts
7 and such. The only time we really become
8 engaged is if we see, like Larry said, there's
9 a discrepancy. Like in Bethlehem Steel, we
10 noticed that there was a one year earlier --
11 because we had air sampling data a year
12 earlier, so we notified DOE and said hey, we
13 think it ought to be extended. But we really
14 don't normally make it our business to go and
15 re-establish the covered periods for no --

16 **MR. ELLIOTT:** The Department of Energy is
17 responsible in this Act, in this law, for
18 establishing the covered facilities list. And
19 to repeat that effort is something that's not
20 NIOSH's -- within NIOSH's purview, and the
21 appropriated money for conducting our
22 responsibilities are not really dedicated to go
23 that -- to that extreme --

24 **MR. BERONJA:** Okay.

25 **MR. ELLIOTT:** -- so we have to rely on what we

1 see the Department of Energy has given us,
2 unless we find something contrary to -- to what
3 they've established.

4 **MR. BERONJA:** Oh, okay. Okay. And is there a
5 general document that's provided by the
6 Department of Energy with that covered period -
7 -

8 **DR. NETON:** Yes.

9 **MR. BERONJA:** -- to you all? Okay.

10 **DR. NETON:** There's a web site that you can
11 visit that has a list of all covered facilities
12 and the years.

13 **MR. BERONJA:** Okay. All right.

14 **MR. ELLIOTT:** There's a formal --

15 **DR. NETON:** They have a little --

16 **MR. ELLIOTT:** Yes, that's where you can find a
17 formal listing of covered facilities and their
18 designations.

19 **MR. BERONJA:** Okay.

20 **MR. ELLIOTT:** Department of Energy holds all
21 the hard copy records behind the establishment
22 of that covered facility designation.

23 **MR. BERONJA:** Uh-huh.

24 **MR. ELLIOTT:** And that can be requested under
25 FOIA. We have in certain instances requested

1 copies of the contract language so that we
2 understood what was -- what DOE was -- or AEC
3 was contracting to have done, but in many --
4 many instances we don't -- don't pursue that
5 unless it's necessary.

6 **MR. BERONJA:** And maybe one related issue that
7 might be worth talking about right now that's
8 not part of the site profile review is there
9 were other facilities that have to some extent
10 been covered in the site profile but are not
11 covered in the SEC, and that's the Downey,
12 Canoga and De Soto facilities. And --

13 **MR. ELLIOTT:** They're separate from Area Four.

14 **MR. BERONJA:** They're separate from Area Four,
15 so if the petitioner strictly petitions for
16 Area Four, then you wouldn't go out necessarily
17 and include those other three facilities unless
18 they specifically --

19 **MR. ELLIOTT:** A petition only deals with one
20 facility.

21 **MR. BERONJA:** And -- okay.

22 **MR. ELLIOTT:** If a facility came in with all
23 three facilities listed, we would counsel the
24 petitioner that it would not qualify, as
25 written, and they would have to -- if the

1 petitioner wanted to submit three petitions for
2 the three facilities, they could do so.

3 **MR. BERONJA:** Uh-huh, but yet the site profile
4 -- I don't know if site profiles normally cover
5 more than one facility. In this case they
6 have, by your definition of facility.

7 **MR. ELLIOTT:** They can.

8 **MR. BERONJA:** Uh-huh.

9 **DR. NETON:** It's just more of an efficiency
10 measure. You know, if they did similar
11 operations, we would lump them together into
12 one.

13 **MR. BERONJA:** Okay.

14 **DR. MAURO:** That's -- that's good, because what
15 you're saying is the site profile may take on a
16 broader mandate and cover multiple facilities.

17 **DR. NETON:** TIB-6000's a good example of that.

18 **DR. MAURO:** Yeah, exactly, but -- but the --
19 but -- and there are issues that have certainly
20 emerged from our review of that site profile,
21 but you're saying they do not fall within the
22 scope of the SEC petition issues that we --
23 that are --

24 **DR. NETON:** For that particular facility.

25 **DR. MAURO:** -- for that -- for the -- yeah, for

1 that -- that particular petition. Okay, that's
2 good. That's good.

3 **MR. BERONJA:** Okay, we'll keep moving on. Is
4 there anything else with that first issue?

5 **MR. ELLIOTT:** It's good to get this on the
6 record.

7 **MR. BERONJA:** Right.

8 **DR. MAURO:** Right.

9 **MR. MORRIS:** You may cut to the chase on the
10 last issue, too, because that deals with Area
11 One --

12 **MR. BERONJA:** Right.

13 **MR. MORRIS:** -- which is not a covered
14 facility.

15 **MR. BERONJA:** Right, that -- I think the last
16 one is off the table, as far as I'm concerned
17 but will -- that'll make the end of this very
18 easy.

19 The second one is --

20 **MR. ELLIOTT:** So we've agreed that this first
21 one is not an SEC issue?

22 **MR. BERONJA:** Right, yeah.

23 **DR. MAURO:** Yes, SC-- SC&A and NIOSH concur --

24 **MR. BERONJA:** Yeah.

25 **DR. MAURO:** -- at least in the context of this

1 conversation.

2 **MR. ELLIOTT:** And I know what you said earlier,
3 Mike, but you know, I would ask whether or not
4 you would consider this one to be closed once
5 we change the typographical error in the site
6 profile.

7 **MR. GIBSON:** But -- you know, we can close
8 these things. I'm just saying that, you know,
9 the petitioners haven't had the advantage --

10 **MR. ELLIOTT:** Yeah, I understand.

11 **MR. GIBSON:** -- of looking at this information
12 and, you know, something like dates, I don't
13 believe there's going to be an issue with that.

14 **DR. NETON:** I would tend to back Mike on that.
15 I guess, you know, given that, you know, you
16 only got these this morning, you might want to
17 take a chance to read the language a little
18 more carefully and -- and see if you agree with
19 what our response is. And typically what
20 happens in -- at least in the procedures group
21 world -- is they would hold that finding in
22 abeyance until the --

23 **MR. ELLIOTT:** Yes.

24 **DR. NETON:** -- finding was -- or until the
25 change was made.

1 **MS. DALY:** This is Cecilia in Mr. Gallegly's
2 office, and I -- I would also want to echo
3 that. I -- until we get a chance to really
4 study this, we would prefer that nothing be
5 closed.

6 **MR. GIBSON:** Well, that's what we'll do.

7 **DR. NETON:** We are annotating this is not an
8 SEC issue, though. Is that correct?

9 4.1-2

10 **MR. BERONJA:** Right. On the -- on the second
11 issues, it -- this is much more of an
12 observation than a finding. It's just that the
13 -- the names used to reference the site are --
14 are not consistent. Sometimes it's a little
15 bit confusing so I guess -- and -- and I don't
16 know if there's really a need to even go back
17 and fix these. I mean if the -- if these
18 documents are ever redone and there's the
19 ability to make the naming a little bit more
20 consistent, I think it would be worthwhile, but
21 --

22 **MS. HUGHES:** Yeah, I agree with you. It's --
23 it's kind of -- it gets confusing and it has to
24 do with there -- there be different location
25 sites that -- referred to by location and

1 there's different entities from a corporate
2 standpoint, so that makes it --

3 **MR. BERONJA:** Right.

4 **MS. HUGHES:** -- confusing, but it should be
5 reviewed -- or changed to make it consistent at
6 the next review.

7 **MR. BERONJA:** Yeah, and I don't know, I'd leave
8 this up to the workgroup and if they want to do
9 anything. My feeling is -- I mean it -- if
10 somebody just gets into it and looks at it,
11 they can -- they can figure it out, but it is -
12 - it is a little bit confusing, so I don't know
13 if any additional discussion is needed on this
14 particular item.

15 4.2-1

16 The -- the next one -- actually we move -- we
17 really move from the introduction into the site
18 description, as far as the issues, and that
19 first issue, 4.2-1, is really on the sodium
20 reactor experiment. And -- and the main thing
21 here is that I don't think that there really
22 was as much information presented in the site
23 profile as there is information out in
24 literature as far as potential exposures and
25 everything else. And this incident happened,

1 you know, post-- the -- or the covered period,
2 through '58. This all happened in 1959, so
3 you'll see in the actual site profile review --
4 I pulled out a number of, you know, discussion
5 items from some reference documents that did
6 reviews of the incident, so I just don't think
7 the site profile did this particular incident
8 justice. And -- and it -- it -- potentially it
9 -- you know, from -- from my perspective -- in
10 a lot of this I'd have to say, you know, before
11 this went in as my perspective, which -- I
12 don't have the history that John and others do
13 -- it's an SEC issue just because it does
14 happen after the covered period. I don't know
15 if, you know, we don't really know how many
16 workers -- you know, if they were truly badged
17 during this period or how well that is
18 documented, so I think this is still -- you
19 know, needs to be reviewed a little bit
20 further.

21 **MS. MUNN:** The question probably is whether all
22 of the -- or at least a significant portion of
23 the information that's contained in the
24 references needs to be brought forward into the
25 document. That's the -- at least that appears

1 to be the soul of the finding there, the
2 question of whether or not it's complete. It
3 seemed to me that there were numerous
4 references, but again it's a question of having
5 to go somewhere outside the document to get
6 those references. Am I reading that correctly,
7 John?

8 **DR. MAURO:** Yes, but I'd like to add another
9 dimension to that is -- correct, there's very
10 often -- and by the way, it has been a matter
11 of practice for NIOSH -- that is, incidents
12 themselves are not ex-- developed in site
13 profiles.

14 **MS. MUNN:** Right.

15 **DR. MAURO:** And -- and one of our comments has
16 been probably a good idea to have a pointer in
17 the site profile, yes, there have been
18 incidents, here's a table, and there are places
19 where those are thoroughly researched. Now I
20 guess where we are on this right now is
21 certainly there are incidents that there's a
22 lot of work that was done separate from the --
23 the site profile. But I guess from the extent
24 to which we've reviewed it, it looks like there
25 was -- there may be some problems in terms of

1 is there sufficient data to identify the
2 impacted individuals and reconstruct their
3 doses, and this would be for a time period
4 outside the cur-- the current '55/'58 period.
5 So I would say yes, this would be an issue that
6 is worthy of some discussion as to whether it's
7 an SEC or not and --

8 **MS. MUNN:** Right, you --

9 **DR. MAURO:** -- only from the perspective --

10 **MS. MUNN:** -- you would agree, however, that
11 the SRE event, like the similar event, inside
12 the nuclear community is well-known, well-
13 studied and well-documented.

14 **DR. MAURO:** And -- and the degree to which dose
15 reconstructions can be done with sufficient
16 accuracy as -- at this point in the process,
17 SC&A has not explored.

18 **MS. MUNN:** Right.

19 **MS. HUGHES:** Okay. Well, I -- I agree that it
20 -- it could be a little bit more detail in the
21 -- the site profile. However, the site profile
22 -- the -- the site description, pardon me, the
23 site description actually tries to describe the
24 incident and not go so much into the dose
25 issue, which should probably be addressed in

1 the external or internal sections of the site
2 profile, but what -- our current standpoint is
3 that since workers were monitored in that time
4 period, then it is feasible for those monitored
5 workers to reconstruct occupational doses.
6 However, there -- there are some technical
7 reports that seem to -- there seems to be a
8 discrepancy in releases of iodine-131 and some
9 other volatile fission products and we're
10 currently looking into that since it -- there -
11 - there's -- does not seem to be an agreement
12 what could have been released so we're still
13 wanting to look at -- at this and see where --
14 if -- if there were potential exposures to
15 workers on the site.

16 **DR. NETON:** I think we would agree that, you
17 know, we need to do a little more work here
18 and, you know, it would be okay with us if we
19 leave it as a potential SEC issue at this
20 point. We need to do a little more -- more
21 homework. We're not saying it -- something we
22 can't do, but it's something that needs to be
23 fleshed out a little better for us to get a
24 definitive response.

25 **MR. BERONJA:** Anything else on that one?

1 (No responses)

2 All right, then that sounds fair.

3 **DR. BEHLING:** Greg, this is Hans Behling, SC&A.
4 I just want to make a comment here, and I think
5 it follows the previous comment by -- by the
6 person who questioned not just the
7 documentation of the incident but look at the
8 incident in context with what kind of bioassay
9 programs were available. To what extent, for
10 instance, did we have the ability to monitor
11 for such volatile radionuclides such as the
12 iodines, the sodium-24 that is very short-lived
13 in the human body, and even the exposure --
14 external exposure to -- to noble gases. It
15 really has to be looked at in context, not just
16 with the documentation process of the accident
17 itself, but the -- the issue of dose
18 reconstruction relative to the types of
19 bioassays that were conducted 1958 and '59 time
20 frame.

21 **MR. BERONJA:** Yeah, and I think maybe the point
22 that's being made is that even though this --
23 this issue is in the site description, it
24 really carries over into the internal as well
25 as the external sections as far as what

1 monitoring truly was done this period and was
2 the monitoring complete enough to really be
3 able to do the dose reconstruction.

4 **DR. NETON:** Hans, this is Jim. I agree with
5 you there. We need to -- we need to study this
6 in the -- in the context of the unique nature
7 of the incident and -- and if we do have
8 sufficient bioassay for the general workers, if
9 not the incident workers, to -- to cover this.

10 **MS. MUNN:** Hans, this is Wanda. You said one
11 thing that gave me a little pause. You would
12 expect some significant sodium-24 exposures
13 from this incident?

14 **DR. BEHLING:** Yeah, this was a sodium-cooled
15 reactor --

16 **MS. MUNN:** And of course this is getting down
17 into the granularity of the incident itself.
18 Probably this is not the right place to discuss
19 that. We'll discuss that later. Thank you.

20 **DR. BEHLING:** Well, just briefly, Wanda, there
21 were 55,000 pounds of sodium coolant that were
22 contaminated. And of course when you have
23 sodium coolant that's subject to neutron flux,
24 you have a lot of sodium-24 --

25 **MS. MUNN:** Yeah, I understand that.

1 **DR. BEHLING:** -- that may have potentially
2 affected workers.

3 **MS. MUNN:** I understand that. It just was not
4 -- the business of its being contaminated is
5 not the same as its being available for a
6 significant exposure.

7 **DR. BEHLING:** Right.

8 **MS. MUNN:** That's why I said significant. But
9 that's -- as I said, that's a deeper question
10 than we need to touch on here. I'm sorry I
11 raised it. Thank you.

12 **DR. NETON:** Sodium-24 has a fairly short half
13 life, does it not?

14 **UNIDENTIFIED:** Yes, it does.

15 **DR. NETON:** So the dosimetric consequences
16 would be fairly small, but we do need to
17 evaluate that and establish a bounding value.

18 **MR. BERONJA:** Anything else on that issue?

19 4.2-2

20 Going to the next one, the lack of information
21 on the composition of workforce, this is a --
22 you know, this might be somewhere between an
23 observation and a -- and a finding, but you
24 know, there was really kind of no -- well, this
25 gets back -- I don't think we have another

1 comment on this, but there -- the term
2 "radiation worker" has been used in the site
3 profile. I know as far as the definition of
4 what is a radiation worker changed, you know,
5 over the history of this particular facility.
6 And you know, really maybe having this
7 definition of -- of the different -- of the
8 workers and how they were characterized I think
9 would have been helpful in the site profile,
10 which group was -- was monitored. Again, this
11 -- this right now is in the site description,
12 just as far as the types of workers, how they
13 were classified. But then this flows over into
14 who was monitored on the -- on the
15 external/internal side, too.

16 **MR. MORRIS:** Radiation workers were defined as
17 -- in their on-site procedures, their
18 contemporary procedures, so it's not a gen--
19 generic thing that came out of regulation, as I
20 understand, so...

21 **MR. BERONJA:** Uh-huh.

22 **MS. MUNN:** This one is not identified on our
23 matrix as an SEC issue. It appears to me that
24 it is.

25 **DR. NETON:** Well, I don't know that it is an

1 SEC issue in my mind, Wanda. I mean we
2 typically do not go into this level of detail
3 about the actual composition of the workforce
4 in the site profile document itself as to, you
5 know, the exact nature of the crafts and
6 workers, who were monitored and who weren't
7 monitored, and that sort of thing. I mean the
8 site profile establishes all the relevant
9 scientific data that we have, health physics
10 monitoring data, to do dose reconstructions.
11 And then when one is presented with a case, you
12 have a worker who either has monitoring data or
13 is not monitored, and then we have another
14 procedure that sort of helps decide whether
15 this worker was not monitored and should have
16 been monitored based on different job
17 classifications, et cetera -- and in fact,
18 that's a fairly claimant-favorable document.

19 **MS. MUNN:** Yes, I've --

20 **DR. NETON:** I think this goes beyond what we
21 would typically do for a site profile.

22 **MR. BERONJA:** Yeah, part of this -- you know,
23 in -- in our procedures, as far as the site
24 profile review, this is one thing that is
25 recommended, you know, that we look for and

1 that was not there. Whether it's an SEC issue,
2 I -- I guess indirectly you might say it is,
3 but you know, we didn't think it really was.

4 **DR. NETON:** I suspect this was -- this was a
5 fairly generic high level statement -- is going
6 to show up somewhere else. I mean if we --

7 **MR. BERONJA:** Yeah -- oh, yeah, yeah --

8 **DR. NETON:** -- so you know, this statement's --

9 **MR. BERONJA:** -- yeah.

10 **DR. NETON:** -- going to be repeated somewhere
11 else in a more specific --

12 **MR. BERONJA:** That's right, right. That's why
13 it's not really a -- we don't think it's an SEC
14 issue.

15 **DR. NETON:** Exactly. I mean I don't -- yeah.

16 **MR. BERONJA:** I -- I think it is covered in the
17 -- you know, what it's more related to is
18 covered later.

19 **MS. MUNN:** Right.

20 **MR. GIBSON:** But just so they keep track of
21 this and it doesn't fall through the cracks of
22 these other documents.

23 **DR. NETON:** Yeah.

24 **MR. BERONJA:** Right, I think we -- we --

25 **DR. NETON:** Keep it a site profile issue, but I

1 don't know that...

2 **MR. MORRIS:** I also should note that this --
3 this site profile was written in accordance
4 with the procedure -- ORAU team procedure 0031,
5 which has had the benefit of SC&A review and --
6 and closure of the findings on it. So thi--
7 this is a standard template and those questions
8 that you've suggested to be answered are not
9 specifically in the template for -- for a TBD.

10 **MR. BERONJA:** Yeah.

11 **DR. MAURO:** I -- I would agree that these
12 concerns should emerge or not emerge when we
13 get into the external/internal dosimetry,
14 whether in -- really in -- what we're really
15 saying is do we have a group of workers here
16 who weren't monitored, should have been
17 monitored, and the question is do we have
18 coworker data that will allow us to reconstruct
19 their doses. So I think that this is an
20 overarching statement that is more introductory
21 than it is of substance as it applies to the
22 SEC issue, and -- and that'll come ba-- we'll
23 come back and visit that as we move through the
24 system.

25 **MR. BERONJA:** And John, the comment I'd make --

1 I don't have the history that everybody else
2 does -- I think it's more of a consistency
3 issue. I mean if this hasn't been done in most
4 other site profile reviews, then it's probably
5 not an issue.

6 **DR. MAURO:** I -- I'm glad -- no, but I'm glad
7 you brought it up because we have not raised
8 this issue in the past --

9 **MR. BERONJA:** Yeah.

10 **DR. MAURO:** -- but you're correct, it's
11 something that we do identify as one of the
12 steps in our review procedures.

13 **MR. BERONJA:** Right.

14 **DR. MAURO:** And we have addressed it when we
15 get into the specifics, but we really never
16 address it in an overarching way as part of the
17 site description --

18 **MR. BERONJA:** Uh-huh.

19 **DR. MAURO:** -- and the health physics program
20 description and -- and our understanding of --
21 quite frankly, maybe it'd be worth saying just
22 a little bit more. When the stage is being set
23 for -- here we have the site that -- all these
24 different activities going on, a sense of -- in
25 the -- in the beg-- in the front end of the

1 degree to which the radiation protection
2 practices at the time, who -- what was the
3 philosophy, was -- was just a sampling of the
4 high end workers exp-- monitored so that you
5 get an idea of what the high end exposure were;
6 were all the workers that had a potential for
7 exposures above ten percent of the limit
8 monitored. Other words, it's -- in -- in the
9 front end -- now I think I may have seen that
10 in some of the write-ups in the front end but
11 some not.

12 **DR. NETON:** My sense is that this is more often
13 dealt with in the internal and external --

14 **DR. MAURO:** Sections of the --

15 **DR. NETON:** -- because honestly, they're --
16 they're very different, as we've found out in
17 the past.

18 **DR. MAURO:** Yeah. Yeah.

19 **DR. NETON:** Who was monitored and why and for
20 what, internally and externally, tend to be
21 very different. And if it's going to be
22 covered at all, I would suggest that it
23 probably belongs more in the individual
24 sections rather than the site description, but
25 that's just my opinion.

1 **MS. MUNN:** Yeah, I think you're right, Jim.
2 Now that we talk about it, I -- I think so.
3 And I --

4 **DR. NETON:** And you're right, John --

5 **MS. MUNN:** -- have no memory of 31, so...

6 **DR. NETON:** But we've tried to make that case
7 extensively, remember, during the Y-12
8 discussion --

9 **MS. MUNN:** Yeah.

10 **DR. NETON:** -- about who was monitored and why,
11 should they have been monitored, they monitored
12 everybody -- we got into some very detailed
13 discussions.

14 **MS. MUNN:** Yes, we certainly did work that one
15 well.

16 **DR. BEHLING:** John, this is Hans again -- and -
17 - and Jim. That comment came from me, and just
18 for -- for the sake of answering a couple of
19 people's questions who say this is commonly
20 done, yes, it is. If I review -- if I recall
21 some of the other TBDs that I've looked at
22 personally, usually there is some oversight in
23 -- in terms of how many people were on site,
24 how many people monitored, and it does give you
25 a sense of were all people who were present on

1 site monitored. If so, it certainly satisfies
2 a lot of curiosity and questions about who were
3 potentially exposed -- exposed to radiation but
4 were not monitored. And so that comment does
5 come from me, and it reflects my understanding
6 of other TBDs where -- where this data was in
7 fact incorporated, and it struck me in viewing
8 Santa Susana that there was very little data on
9 that issue.

10 **MR. BERONJA:** Yeah, Hans, you don't see their
11 response. Actually in NIOSH's response they
12 have said that a clarification regarding the
13 types of monitored workers will be added to the
14 revised TBD. So it looks like you're going to
15 --

16 **DR. NETON:** Yeah, we'll --

17 **MR. BERONJA:** -- get your --

18 **DR. NETON:** -- we'll put something in there,
19 but I -- I don't -- again, I don't think
20 necessarily that this is something that would
21 indicate that it would keep us from doing dose
22 reconstructions because, again, that's going to
23 show up in the internal and external --

24 **MR. BERONJA:** Right.

25 **DR. NETON:** -- documents, whether or not we can

1 adequately bound doses for those types of
2 exposure.

3 **DR. BEHLING:** Also let me ask you while I'm
4 thinking about it because we're talking about
5 the SEC and that's obviously confined to Area
6 Four and -- and -- and was there any attempt to
7 -- to rotate workers between Area Four and the
8 other three facilities? Do we know if -- if
9 workers were routinely asked to come in and out
10 of -- of one of the area into the other as
11 needed?

12 **MR. MORRIS:** Well, there -- there was no
13 attempt to routinely rotate them, but there was
14 no -- no prohibition from them moving and in
15 fact they could have, depending on the time
16 frame that they worked, in the earliest days of
17 the facilities they could have moved from
18 Downey to Area four, potentially, and -- or --
19 or back and forth, depending on the assignment
20 that they caught.

21 **DR. BEHLING:** I know that, for instance, at
22 Idaho we had people just being rotated
23 throughout and -- and they will appear in one
24 TBD and -- and then another, and so the
25 question is -- and in my mind, do we have any

1 understanding of whether or not people were in
2 fact rotated from -- from Area Four to other
3 facilities.

4 **MR. MORRIS:** I'll try to answer it one more
5 time. I don't think they intentionally rotated
6 people.

7 **DR. BEHLING:** Oh, okay.

8 **MR. MORRIS:** But they did -- people did move
9 between facilities.

10 **DR. MAURO:** Interesting juxtaposition then. So
11 right now we have an SEC petition that's
12 limited to Area Four, and by definition does
13 not include these other -- De Soto, Canoga and
14 there's one --

15 **UNIDENTIFIED:** Downey.

16 **DR. MAURO:** -- Downey. Now I guess from the
17 point of view of being able to reconstruct the
18 doses to workers in Area Four and answering the
19 question related to the SEC petition, if there
20 is an iss-- let me see if I can pose this
21 question; I think you know where I'm headed.
22 If there is an issue on the site profile that
23 says there might have been some difficulty
24 reconstructing internal doses for people that
25 worked that facility -- okay? -- but they also

1 from time to time went back and forth, how does
2 that play out in addressing the questions
3 related to the SEC petition where you're
4 limited to only Area Four? You see the -- you
5 see the dilemma. Is there a dilemma?

6 **DR. NETON:** I don't know. I mean we would --
7 our inability -- if we identify a weakness or
8 an inability to reconstruct doses at one of the
9 other facilities, then we would have the option
10 to initiate our own 83.14 petition which would
11 -- essentially NIOSH could initiate a class.

12 **DR. MAURO:** But it wouldn't play -- it wouldn't
13 play out in the current petition, which is
14 limited to Area Four.

15 **DR. NETON:** No.

16 **DR. MAURO:** Okay.

17 **MR. SCHOFIELD:** Is it known whether there are
18 records showing these people going from the
19 different areas in and out of Area Four? Is
20 that documented, by any chance, in personnel
21 files?

22 **MR. MORRIS:** You mean at the -- at the real
23 fine level of --

24 **MR. SCHOFIELD:** Yeah.

25 **MR. MORRIS:** -- he was there for this week and

1 not for that week? I don't think you'll find
2 that. When -- for example, when I was working
3 in the -- to look at the medical records, it
4 was really obvious that sometimes people were
5 at this facility and some people -- times
6 people were at another facility, but it was all
7 one employer so the records were intermingled.
8 But I don't -- I don't recall any data that I
9 saw that would have answered the question you
10 just asked, Phil.

11 **MR. BERONJA:** There were -- there were people -
12 - if you look at some of the dose
13 reconstructions, there were people that worked
14 at the other facilities and they went to Area
15 Four. There's no -- there's no doubt about
16 that. I'm not sure what the percentage of
17 people were, but there's -- there's definitely
18 a group of people that worked at the other
19 facilities and went to Area Four.

20 **MR. SCHOFIELD:** Particularly I'm thinking of
21 things like a lot of the crafts and stuff, they
22 may have been located in one of the other areas
23 but yet significantly were in the Area Four --

24 **MR. MORRIS:** Oh, these are -- these are fairly
25 far separated facilities in terms of -- you

1 know, up -- up a narrow California canyon to
2 get to Area Four, and way out on a reservation
3 -- or you know, sort of on a residential street
4 in an industrial area, so -- but they were --
5 they were far enough apart that you would not
6 have had the same group of maintenance workers,
7 you know, moving back and forth on a day to day
8 basis, I don't think.

9 **MS. MUNN:** Well, aside from that, with research
10 and development sites like this, you tend to
11 develop a specialized workforce that works on a
12 given project at any given time, because each
13 one has such idiosyncracies that you don't
14 often overlap unless you have a continuing set
15 of -- of programs, and then they're not all
16 going on at the same time. So research and
17 development sites are a little different.

18 **MR. GIBSON:** That's -- that's generally true,
19 Wanda, but depending on the workload and what
20 projects there were, they would -- could
21 potentially add additional employees for peak
22 loads and stuff.

23 **MS. MUNN:** Well, there's always a possibility,
24 but I thought we were talking about routine
25 operations.

1 **DR. NETON:** But when we get employment verified
2 by Department of Labor, does it not identify --

3 **MS. HUGHES:** Yes, it does.

4 **DR. NETON:** -- identify which facility they're
5 actually claiming employment at?

6 **MS. HUGHES:** It -- it does, and it even -- I
7 think the dose records or the employment
8 records actually show even which areas the
9 workers worked in for certain times. Say the
10 worker worked maybe a year in Area One, but was
11 transferred to Area Four, so we actually have
12 that information for -- for the workers.

13 **DR. NETON:** So, you know, worst case scenario,
14 if the Department of Labor has qualified this
15 person worked at Area Four for four years and
16 they may have rotated out and gone somewhere
17 else, we would just reconstruct the dose as if
18 they were in Area Four the entire time period.

19 **MR. GIBSON:** But on the other hand, if
20 someone's employment is listed at one of the
21 other facilities and they're a claimant and
22 they -- they remember through their work
23 history they were assigned to Area Four --

24 **DR. NETON:** They need to let Department of
25 Labor know that.

1 **MR. GIBSON:** -- if they could -- if they could
2 meet the 250-day notice, then they should be
3 covered. Right?

4 **MS. BEACH:** Have there been worker interviews
5 asking that question? SC&A, do you recall any
6 or...

7 **MR. BERONJA:** I don't -- I don't.

8 **DR. MAURO:** Do we have that back yet? I don't
9 --

10 **MR. BERONJA:** There was -- you know what, it
11 was -- the worker interviews were just approved
12 clean, so now I think what's happening is Kathy
13 is sending them back to the workers to look at
14 to see if everything looks okay. So I think
15 we're pa-- we just finished -- within the last
16 day or two have been cleared, so I ha-- I
17 haven't looked at them until they were cleared,
18 so...

19 4.2-3

20 Anything else on that issue? The next issue,
21 the lack of sufficient detail to assess
22 potential exposures to workers, I think --
23 actually I think -- a few of these next
24 findings I think, Hans, were your comments that
25 you made, and I don't -- I don't know if you

1 just want to give a -- a summary of this 4.2-3?

2 (No responses)

3 Hans, are you mute-- I think you might be
4 muted.

5 **DR. BEHLING:** Yeah, I'm sorry, I -- you're
6 right, I was muted. I'm trying to recall some
7 of the things, but I think it also touches back
8 on a number of things we've already discussed.
9 I think we talked about dates, the -- the issue
10 of names of facilities, the -- the type of --
11 the number of workers, the doses associa-- I
12 think we've discussed some of the issues
13 already that would have been identified under
14 this 4.2-3. I think this -- that was a
15 composite statement I've made and -- and it may
16 have also made reference to the issue of the
17 various incidences that we briefly discussed,
18 such as the sodium reactor experiment accident
19 of '59, et cetera. I'm not sure I -- I'm not
20 really prepared or I'm not in a position really
21 to comment anything in addition to what has
22 already been said.

23 **DR. MAURO:** Hans, am I correct, thi-- we're
24 still in the section --

25 **MR. BERONJA:** The site description.

1 **DR. MAURO:** -- the site description. Let's
2 assu-- so everybody -- in a funny sort of way
3 the site description section's almost setting
4 the stage of -- of the broad-brush areas of
5 development that we would have liked to have
6 seen in the site description to -- but they
7 really don't come to light in terms of how
8 significant an SEC issue might or might not be
9 till we get on to the next -- to the --

10 **MR. BERONJA:** Right.

11 **DR. MAURO:** -- to the internal section and the
12 external section, so I -- this is almost like a
13 preview. Yeah, I think we're going to have to
14 talk about some of these things, but -- in
15 specifics --

16 **MR. BERONJA:** Yeah, and --

17 **DR. MAURO:** -- when we get to those sections.

18 **MR. BERONJA:** -- I gue-- I guess the other
19 comment I'd make is this is really broad, and I
20 think we have some more specific comments. So
21 whether this is an SEC issue per se may or may
22 not be the case. You know, there -- I mean for
23 instance, with the sodium reactor experiment,
24 you know, and some of the other ones -- well,
25 the sodium burn pit and some other ones we'll

1 talk about --

2 **DR. NETON:** Right.

3 **MR. BERONJA:** -- maybe those are more
4 specifically issues rather than whether this is
5 really an SEC issue. I mean I guess -- this is
6 a much more broader one, probably covering more
7 detailed ones we're going to talk about.

8 **DR. NETON:** It's hard to address a comment like
9 -- you know, your document lacks sufficient
10 clarity. I mean what do you do with that? I
11 think it's better addressed in the context of
12 specific examples --

13 **MR. BERONJA:** Right.

14 **DR. NETON:** -- later.

15 **DR. MAURO:** And -- right. I mean in our own
16 defense, when we review these we sort of -- we
17 go through each chapter and say okay, do we
18 have anything to say about the -- the site
19 description. And so we have some general
20 statements.

21 **DR. NETON:** Could be better, yeah. I mean --

22 **DR. MAURO:** Maybe the real -- I mean perhaps we
23 move through these pretty quickly and let's get
24 to the heart of the matter, which is
25 internal/external.

1 **MR. BERONJA:** Yeah.

2 **DR. MAURO:** That's where -- that's where we got
3 -- that's where the action is.

4 **MR. BERONJA:** Yeah, I guess the next one, Hans,
5 also -- on the incomplete list of --

6 **MR. ELLIOTT:** But before we leave --

7 **MR. BERONJA:** I'm sorry.

8 **MR. ELLIOTT:** Before we leave 4.2-3, NIOSH is
9 saying here that we will update the TBD to
10 provide additional detail in response to this -
11 - this issue that you've raised.

12 **DR. NETON:** We agree it can be fleshed out to
13 be better. Whether or not that's going to
14 prevent us from doing sufficient accurate dose
15 reconstructions --

16 **DR. MAURO:** I agree to that.

17 **DR. NETON:** -- is another issue.

18 **DR. MAURO:** And that will -- that will emerge
19 later when we get into the substance of the
20 internal and external chapters.

21 **MS. HUGHES:** I think this particular statement
22 that we'd provide additional review, the first
23 two, there was a finding -- part of the finding
24 said there was references missing or reference
25 to a particular incident that will be added.

1 That's actually the main point of this response
2 of...

3 **MR. KATZ:** So are you wanting to track this as
4 an SEC issue or -- or not and we'll stick with
5 the specifics?

6 **MR. ELLIOTT:** NIOSH does not believe it to be
7 an SEC issue.

8 **MR. BERONJA:** I would -- I would be tempted to
9 pull it off as an SEC issue --

10 **DR. MAURO:** Yeah, I think -- I think that there
11 are elements of this general statement that
12 could become an SEC that will emerge later when
13 we get --

14 **DR. NETON:** Right, we really get into the
15 weeds.

16 **MR. BERONJA:** Yeah, I think the sodium reactor
17 experiment and some of the others --

18 **DR. MAURO:** And I think --

19 **MR. BERONJA:** -- will remain, yeah.

20 **DR. MAURO:** And those come up again later.

21 **MR. BERONJA:** Right. Yeah.

22 **MR. KATZ:** So it's not.

23 **MR. BERONJA:** Not, yeah, let's -- let's take it
24 off.

25 **MS. MUNN:** It would seem that it would be

1 relatively easy to close this one out quickly,
2 although we're not talking about closures now,
3 simply by indicating that it is covered -- or
4 will be covered -- in --

5 **DR. MAURO:** Transfer it.

6 **MS. MUNN:** -- right, transfer it and get it out
7 of there to -- to where it belongs, which is
8 down in -- in internal/external.

9 **MR. BERONJA:** Anything else on this one? The
10 next one I think, Hans, was also one of your
11 fin-- the incomplete list of radionuclides?

12 4.2-4

13 **DR. BEHLING:** Yeah, and -- and again, I sort of
14 went through the whole site profile, between
15 the various TBDs, and I realized -- for
16 instance, the issue of -- the radioiodines were
17 not included, and of course those would --
18 would have potentially been radionuclides of --
19 of concern during the various reactor
20 operations, inclusive of incidences. And so
21 there were -- so I identified radioiodines 131,
22 33, 135, also other activation products such as
23 magnesium-54 that I didn't see on the list. So
24 my -- my statement there was that perhaps a
25 review of the list of radionuclides needs to be

1 done and -- and perhaps some of these
2 radionuclides, especially the short-lived
3 radionuclides -- also I didn't see much of --
4 in the way of sodium-24. We've already briefly
5 mentioned that as an issue with the sodium-
6 cooled reactor. So there were a number of
7 radionuclides that I felt should have been
8 added to the list of potential radionuclides.
9 And especially those that are short-lived and,
10 given the limited bioassays that may have been
11 conducted, may not have been incorporated into
12 the urinalysis or other bioassays that were
13 done early on. Obviously we -- we know that
14 these radionuclides, such as the iodines, are
15 extremely short-lived. In some cases not even
16 a very, very -- a turn -- quick turnaround in a
17 whole body count would reveal a short-lived
18 radioiodine such as 133 and 135, so obviously
19 these are issues that have to be looked at and
20 perhaps default values have to be factored
21 into.

22 **MR. BERONJA:** Yeah, Hans, and maybe for those
23 on the -- on the phone -- you know, I guess
24 NIOSH and -- is going to respond to this issue
25 by looking at this and determining if there are

1 radionuclides that need to be added that are of
2 concern, so...

3 Anything else on -- on this issue?

4 **MR. KATZ:** So this remains as an SEC issue?

5 **DR. MAURO:** Could -- let me --

6 **MR. KATZ:** Is that what you're saying?

7 (Whereupon, multiple participants spoke
8 simultaneously.)

9 **DR. MAURO:** And remember, what -- what's
10 happening right now is that we're making
11 general statements in the introduction, so in a
12 way -- I mean maybe we're -- what we're really
13 saying is this really should be married with
14 the details that come -- to come later and
15 rolled up into one particular issue, namely --
16 this is almost like an introductory paragraph
17 to the concern, and then the itemized specific
18 isotopes, specific issues and -- and the
19 internal dosimetry concerns emerge again later.

20 **DR. NETON:** It's really like we're being
21 double-hit here --

22 **DR. MAURO:** You're being double-hit, that's
23 what I was going to say --

24 **DR. NETON:** -- you know, two findings for one
25 (unintelligible). When you roll these up and

1 there's 39 findings, at the end of the day
2 there may be 18.

3 **DR. MAURO:** Exactly, and you know what? I
4 think --

5 **DR. NETON:** I'm sensitive to that.

6 **DR. MAURO:** You know what? Maybe --

7 **MR. BERONJA:** I'll be aware of that next time
8 around.

9 **DR. MAURO:** No, no, maybe there's something we
10 could -- let us talk about this, what to do
11 about this. Right now we're working from this
12 and doing the best we can with it, we're
13 actually sort of stumbling over -- is there --
14 is there something that would be desirable for
15 us to collapse the listing as a result of the
16 dialogue we're having right now --

17 **DR. NETON:** I think so.

18 **DR. MAURO:** -- collapse it so that it becomes a
19 crisp issue one and a potential SEC issue one
20 that emerged from this meeting.

21 **DR. NETON:** Yeah.

22 **DR. MAURO:** And so we'll take words like this
23 and marry them with the later stuff, so all of
24 a sudden instead of having -- like you said --
25 30, we only have six -- or seven or eight, I

1 don't know.

2 **MR. BERONJA:** Yeah, but John, you're -- in my -
3 - you're confusing a little bit the site
4 profile review, which we're doing, versus the
5 SEC -- I mean this -- this review is really a
6 site profile review --

7 **DR. MAURO:** That's right, yeah.

8 **MR. BERONJA:** -- you know --

9 **DR. MAURO:** So we go --

10 **MR. BERONJA:** -- with ju-- with the just the
11 notations of the SEC so --

12 **DR. MAURO:** Yeah, yeah, yeah, yeah.

13 **MR. BERONJA:** -- this document is not really
14 meant to be an SEC --

15 **DR. MAURO:** Yeah, yeah, yeah.

16 **MR. BERONJA:** -- review document, so I mean the
17 fact that we had findings on section two -- I
18 mean I think they're worthy of -- I mean I
19 apologize if there's more findings -- I didn't
20 mean this is a worse document than 18 versus
21 35, but -- you know, but --

22 **DR. NETON:** Well, if you look at 4.2, the
23 incomplete list of radionuclides, I just
24 glanced back through the internal section and
25 there's like five or six findings that

1 enumerate all those individual issues, and
2 uranium and --

3 **DR. MAURO:** Well, good --

4 **DR. NETON:** -- exotic radionuclides and such.

5 **DR. MAURO:** -- so in a way what we're saying is
6 this is a site -- right now we have a site
7 profile issue, that is you could have --

8 **DR. NETON:** (Unintelligible) incomplete --

9 **DR. MAURO:** -- incomplete description, you
10 could, you know -- and the answer is yeah, we
11 probably can improve on that write-up, make it
12 look a li-- you know, tell the story a little
13 better, but it's not an SEC issue. It's --
14 it's more a site -- because -- it becomes an
15 SEC issue later, so we're trying to do two
16 things at the same time.

17 **MR. BERONJA:** No, I agree with what you just
18 said. It's not necessarily an SEC issue in
19 section two. It becomes that way in the later
20 sec--

21 **DR. MAURO:** It becomes an SEC issue later on
22 when we get into --

23 **MR. BERONJA:** Yeah.

24 **DR. MAURO:** So in -- so I guess if we keep in
25 mind we're trying to do two things in parallel,

1 talk about the site profile and -- and also say
2 something about whether or not it's an SEC
3 issue, I think -- my sense is that right now,
4 within the context of -- of the description,
5 the chapter two --

6 **MR. BERONJA:** Yeah.

7 **DR. MAURO:** -- this is not an SEC issue --

8 **MR. BERONJA:** No, no, I --

9 **DR. MAURO:** -- it is a site profile issue.

10 **MR. BERONJA:** I believe it is a site profile
11 issue, but take --

12 **DR. MAURO:** Right.

13 **MR. BERONJA:** -- it off as an SEC issue.

14 **DR. MAURO:** And it becom-- but later we'll
15 determine whether we have an SEC issue or not.

16 **UNIDENTIFIED:** Maybe you can say that about
17 every finding in chapter two.

18 **DR. MAURO:** I'm -- and I'm -- and I'm -- I have
19 a sense that that's in fact the case.

20 **MR. BERONJA:** Right, yeah.

21 **DR. MAURO:** You know, until we get there, you
22 know, and we'll get there.

23 **DR. MAURO:** Yeah.

24 **DR. BEHLING:** John, let me just add a couple of
25 points here. Normally when I review a site

1 profile, I realize that -- for instance, in the
2 case of the TBD two and TBD five, there is
3 obviously a connection. But frequently they're
4 written by two different site experts, and what
5 I look for is consistency because sometimes I
6 suspect they don't necessary talk to each other
7 and -- and they write each -- their -- their
8 section, TBD two, TBD five, and -- and not
9 necessarily make sure that all of these
10 statements are consistent between the two TBDs.
11 And -- and I always look at TBD two in context
12 with TBD five and six, because sometimes you
13 realize there are deficiencies in one area that
14 you wouldn't have recognized if you didn't read
15 TBD two.

16 **DR. NETON:** But that's not the case here, I
17 think. You're saying that --

18 **MR. BERONJA:** Well, I think the -- I think
19 maybe Hans pointed -- I mean these are site
20 profile issues that -- that should be called
21 out, but I think we can focus on sec-- you
22 know, five and six as --

23 **DR. MAURO:** I know they're there --

24 **MR. BERONJA:** -- under the SEC issues.

25 **DR. MAURO:** Other words, I know when we get to

1 five and six we're going to see this -- we're
2 going to see these concerns. I know that these
3 are going to be something -- substantive
4 discussion as an SEC issue.

5 **DR. NETON:** And I have no doubt that this --
6 this site profile can be improved, and when we
7 address five and six those'll roll up and --
8 and get captured in two, if we leave this
9 finding as a site profile issue.

10 **DR. MAURO:** I'm -- I'm fine with that.

11 4.2-5; 4.2-6

12 **MR. BERONJA:** Then the next two, and -- and
13 really the last two comments on section two are
14 really again probably between observation and
15 findings. This -- the 4.2-5 talks about the
16 discrepancies in dates of operation. I think
17 that just needs to be cleaned up, and I think
18 NIOSH has said that they're going to clean that
19 up. The same thing is true on the presentation
20 of owners and operators. That just is -- that
21 could use some cleaning up, and I think you've
22 said you'll clean that up. So I think these
23 are fairly -- neither of them are site profile
24 issues, they're just kind of cleaning up the
25 document, so -- I mean unless there's any --

1 any other discussion on either of those.

2 **MS. HUGHES:** Not really.

3 4.3-1

4 **MR. BERONJA:** Otherwi-- if there's not, we can
5 move into section three, you know, on the -- on
6 the -- let me just make sure I've got my notes
7 here -- on the medical dose. And actually I
8 think this -- this -- at least in my
9 perspective, I -- I think this is probably one
10 where I put down SEC issue in error. You know,
11 unless Hans or John feels differently, I don't
12 think that this is a -- an SEC issue at this
13 point. But this is just insufficient guidance
14 in TBD three to perform dose reconstructions,
15 and again, I think -- Hans, this -- I think
16 this was your comment here, maybe just with
17 some specific examples that you provided on...
18 Hans, are you on mute?

19 **DR. BEHLING:** Yeah -- no, I'm -- I'm trying to
20 -- I have got three different documents, my
21 initial write-up to you, your write-up, and now
22 the matrix.

23 **MR. BERONJA:** Yeah.

24 **DR. BEHLING:** I'm trying to shuffle three
25 different documents around to see what it is

1 that I had initially submitted to you. Yeah, I
2 -- I think my comments -- and it may have been
3 changed in wording. My original finding under
4 the -- the issue of occupational medical dose
5 essentially read as follows: (Reading) Current
6 guidance requires subjective interpretation and
7 makes unreasonable demands on a dose
8 reconstructor. And I provided some statements
9 to that effect where obviously some of the
10 documents, the hard copy documents that are
11 available, are -- are oftentimes very, very --
12 just cryptic, where you have to go back and
13 understand what was actually stated on these
14 hard copy documents for -- in behalf of a given
15 worker and -- and I'm not sure to what extent
16 that was -- those comments were incorporated in
17 the original -- in -- in the TBD review that
18 you submitted. I'm trying to quickly scan here
19 to see what was stated, but that was basically
20 my comments, is that -- and I quoted directly
21 from -- from the original TBD three regarding,
22 for instance, its confusion, and I read here
23 the exact wording that came out of Section 3.7
24 of the TBD and -- and let me just quickly
25 summarize what I was concerned about.

1 In Section 3.7 of the TBD the following
2 statements occur: The records provided by D
3 (sic) are likely to include adequate
4 information to define the type, date and
5 account of X-ray examinations that were
6 administered to the claimant as a condition of
7 employment. Use the assumptions regarding
8 radiographic exposures frequency only for
9 screening when specific claimant records are
10 not available.

11 And then it continues: If confusion about the
12 radiographic exposure record exists, consider
13 requesting that the notes on the exterior of
14 the envelopes containing the claimant's X-rays
15 be transcribed and provided. These notes
16 should give insight to the reason that the
17 exposures were made. For example, pre-
18 employment examination, routine surveillance,
19 and diagnosis of injury.

20 What really came out of this is we're asking
21 the dose reconstructor, who is obviously in
22 possession of some hard copy data that involves
23 occupational medical, to make some additional
24 inquiries that may or may not be within his
25 purview to do so. And I guess I'll wait for

1 Jim or Larry's comments to what extent that --
2 at this point can be done and at the level of a
3 dose reconstruction.

4 **DR. NETON:** Well, I'll defer to our experts
5 here who responded to this question.

6 **MR. MORRIS:** Do you want to try that, Elyse?

7 **MS. THOMAS:** Yes, and we put in our response
8 that -- specifically referring to the best
9 estimate cases, there's guidance in Procedure
10 61 about what X-- what dose to include for a
11 best estimate case. And -- let me read, I
12 think I put in a section here -- for a best
13 estimate case, Procedure 61 says for actual
14 records showing X-ray exposure, dose
15 reconstructor is not to add dose for years
16 where there is no X-ray record. Okay? And
17 that is in contrast to a dose reconstruction
18 where the dose reconstructor is trying to
19 maximize the dose or overestimate the dose,
20 where they would be -- the Energy employee
21 would be assigned a dose from X-ray procedures
22 whether or not those X-ray records appeared in
23 the record. So it -- it really -- there's not
24 I think as much subjectivity there as -- as you
25 would think when you also include the guidance

1 in Procedure 61.

2 **MR. MORRIS:** I might add to that, you truncated
3 your quote halfway through it. I don't think
4 the guidance is as hard to follow as it's been
5 characterized here. And in response we
6 provided the whole paragraph so you can judge
7 it on its own merits.

8 **MR. BERONJA:** And maybe we just need to go back
9 and take a look at this. I think, Hans, you
10 haven't seen the response, unfortunately. But
11 maybe we can take a look at this and have --
12 you know.

13 **DR. MAURO:** My experience in reviewing a lot of
14 the cases is usually heroic efforts are not
15 made to get to the high level of resolution for
16 X-ray exposures that you would like, especially
17 if it's early years, given the paucity of some
18 records. And what you usually resort to is
19 OTIB -- I guess it was 6, it may have changed
20 numbers now, the one written by Ron Catherine*,
21 which is an excellent document. We've reviewed
22 it thoroughly and does lay out a strategy for
23 making assumptions regarding photofluoroscopy -
24 - later-- pelvic X-rays, lateral versus
25 anterior, posterior anterior -- what I'm

1 getting at is my experience is when we do have
2 comments on a site profile on the medical
3 section, it's for reasons that you are seeing
4 here. It's almost like an effort to try to
5 achieve a resolu-- level of resolution with the
6 data that would be desirable if you can, but we
7 -- but we suspect that that's going to be
8 difficult to do. You're saying maybe not.

9 **MR. MORRIS:** I personally looked at 300
10 envelopes.

11 **DR. MAURO:** Okay.

12 **MR. MORRIS:** And I personally saw notes on
13 every one of them that were five to seven words
14 long, with the examination date. And if you
15 wanted to do a best estimate, the data was
16 there for that.

17 **DR. MAURO:** Was there. I'm not going to
18 disagree with that. I -- I would suspect that.
19 But what I would also say is that push comes to
20 shove, you resort to Catherine's approach and
21 you bound it.

22 **MR. MORRIS:** That's true.

23 **DR. MAURO:** So it's not an SEC issue, to my
24 opinion.

25 **MR. BERONJA:** Uh-huh, right. Yeah. So I gue--

1 I guess, just from -- in summation on that, I
2 think we agree it's not an SEC issue. We can
3 go back and take a look at it and see if
4 there's anything else, after Hans sees the
5 response. Anything else from the workgroup on
6 this one?

7 (No responses)

8 **4.3-2**

9 If not, the next one, 4.3-2, this is more of a
10 -- kind of observation and probably me a little
11 bit as an outside-- I think this was my comment
12 -- that, you know, I'd looked at it and -- and
13 I was looking at the units and I think I
14 finally figured out from one of our other
15 experts that really what was intended was these
16 units should have been per examination, and I
17 think NIOSH has agreed that the next time
18 they're going to add this -- even though it's
19 probably a given or people assume that this is
20 the case, probably for health physicists doing
21 this, but I think it would just make things
22 easier if we had the full units or...

23 **MR. ELLIOTT:** Well, when -- when a site profile
24 is rolled out or a Technical Basis Document is
25 rolled out, the dose reconstructors that are

1 going to utilize that document are given some
2 training.

3 **MR. BERONJA:** Uh-huh.

4 **MR. ELLIOTT:** And so this would have come up, I
5 would have hoped, in that kind of a training
6 session when they say what units are we dealing
7 with here or, you know, what -- and certainly
8 we should have taken note of that and maybe
9 made a change of that, just --

10 **MR. BERONJA:** Right.

11 **MR. ELLIOTT:** -- just for your edification.
12 That -- that training session does happen, and
13 so it's not just issued and assumed that
14 everybody will understand or --

15 **MR. BERONJA:** Uh-huh.

16 **MR. ELLIOTT:** -- ask the right question.

17 **MR. BERONJA:** Yeah. See, I missed the
18 training.

19 **MR. ELLIOTT:** Sorry.

20 **MR. BERONJA:** You didn't invite me.

21 **MR. ELLIOTT:** Sorry.

22 **DR. NETON:** But nonetheless, I don't think we
23 would disagree it's not -- it's not a bad idea
24 to put in per examination.

25 **MS. MUNN:** As far as years later looking back

1 at this, it might be worthwhile to incorporate
2 a comment -- a sentence, phrase in the NIOSH
3 response that includes the fact that training
4 occurs.

5 **DR. NETON:** That's a good point, Wanda.

6 **MS. MUNN:** Just that it's typically accustomed,
7 but -- and training might go in there, clarify
8 the whole thing.

9 **MR. ELLIOTT:** Well, and we also hope that the
10 review process would catch an error made by a
11 dose reconstructor misapplying the table.

12 **MR. BERONJA:** Uh-huh. Uh-huh. Uh-huh. Okay,
13 anything else on -- on this one?

14 **4.4-1; 4.4-2**

15 The next one, as we move into -- actually --

16 **UNIDENTIFIED:** Environmental.

17 **MR. BERONJA:** -- move into environmental, I
18 need to get both my things here 'cause I have
19 comments in my own section. The improper use
20 of surrogate data for environmental exposure --
21 I think actually -- you know, just as a -- a
22 little bit of a clarification to everybody --
23 again, this is Greg Beronja -- I coordinated
24 this -- this review, but Hans and Arjun
25 Makhijani and then Dunstana all were

1 contributors in looking at different sections
2 of this, and -- and actually I think we had a
3 few people that kind of looked at general
4 sections that had -- had this comment on the
5 use of -- and this particular comment issue's
6 4.4-1 and 4.4-2 on this improper use of
7 surrogate data for environmental exposure. And
8 this was primarily related to trying to take
9 some of the later years' data to apply to the
10 earlier years' data when I think in most
11 people's minds there were many more activities
12 going on in the earlier years, so maybe that
13 wasn't a fair way to -- to treat that, so I
14 think that was the general comment there.

15 **DR. MAURO:** But let me make a clarifying.

16 **MR. BERONJA:** Sure.

17 **DR. MAURO:** The term "surrogate data" is -- has
18 -- it's one of these hot button words --

19 **MR. BERONJA:** Yeah.

20 **MR. ELLIOTT:** Connotations.

21 **MR. BERONJA:** Yeah.

22 **DR. MAURO:** Connotations.

23 **MR. BERONJA:** Yeah.

24 **DR. MAURO:** Do not -- we do not mean its other
25 site. Surrogate data -- typically when we use

1 it now, we are referring to data taken from one
2 site and used for another site. That's not the
3 concern here. The concern is using -- it is a
4 form of surrogate, but it's within -- within
5 the system. Other words, so the main concern
6 is that -- and by the way, this is a recurring
7 thing that we run into on many sites. Don't
8 have data in the early years but you do have
9 data in the later years, and somehow you try to
10 use the data in the later years to apply to the
11 earlier years, and we do have lots of problems
12 with that, especially in this particular case.

13 **DR. NETON:** This is a back extrapolation.

14 **DR. MAURO:** This is a back extrapolation in the
15 -- in the circumstance where the back
16 extrapolation, from our review, may not really
17 work very well.

18 **DR. NETON:** I think you've seen our response
19 that we don't necessarily disagree that we need
20 more -- to do more work there to demonstrate
21 that that's appropriate.

22 **MR. MORRIS:** On the other hand, as it being an
23 SEC issue, I don't think that there's any doubt
24 that we can bound doses. The ambient dose is
25 not going to be higher than the monitored

1 worker dose.

2 **DR. MAURO:** Let -- let's talk about that some
3 more. Let's say we have a worker and -- and
4 he's a -- he works outdoors. Okay? So -- and
5 -- and he's not monitored, and you need to
6 reconstruct his exposure and it's post-1958.
7 Okay? Now, I would agree that a monitored
8 worker who worked where -- in an area where
9 there was potential for much higher exposures
10 is real, and he would be -- it would be
11 unlikely that he would have experienced doses
12 as high as the workers that worked in the
13 buildings and was -- were monitored. That's --
14 nevertheless, there's an obligation to
15 reconstruct this man's dose and you're in the
16 position where you have to somehow assign an
17 ambient dose, environmental dose, to this
18 person. Right now the plan is to use effluent
19 monitoring data taken from later years and
20 somehow extrapolate back to earlier years to
21 assign him a dose. I would say that that's
22 going to be a challenge, and I -- and I don't
23 think you could use other da-- other worker
24 data for monitored workers to this person
25 because -- in other words, it wouldn't be a

1 plausible scenario. I think we should talk a
2 little bit about this.

3 **DR. NETON:** Yeah, yeah, yeah, I --

4 **DR. MAURO:** You know where I'm going with this?

5 **DR. NETON:** Yeah.

6 **DR. MAURO:** You see, the pa-- Part 83 has words
7 in it on plausible, and this is a very
8 important point that you're going to see come
9 up again and again in a lot of our reviews.
10 Yes, you could place an upper bound on this
11 fella that I just described and the way you
12 describe, but that would not be plausible.
13 That sce-- that exposure scenario would not be
14 plausible for him.

15 **DR. NETON:** Think about what you're saying here
16 now, John, though.

17 **DR. MAURO:** Yes.

18 **DR. NETON:** You can plausibly bound workers
19 with huge exposures in the plant, yet you'd
20 have to -- you can't bound workers who were --

21 **DR. MAURO:** But it's not plausible.

22 **DR. NETON:** -- and therefore those would be not
23 sufficiently accurate and become SEC --

24 **DR. MAURO:** Yeah.

25 **DR. NETON:** -- even though --

1 **DR. MAURO:** Well, that's how I --

2 **DR. NETON:** -- even though by definition their
3 exposures, by your own admission, are lower
4 than --

5 **DR. MAURO:** Yeah.

6 **DR. NETON:** -- the workers' exposures?

7 **DR. MAURO:** Listen --

8 **DR. NETON:** That's the subject of a different
9 debate, I think, but --

10 **DR. MAURO:** Well, but it -- and it comes to
11 rea-- it comes to -- to ground here. Other
12 words, right now -- I mean we're going to see
13 this again and again, but it comes to ground
14 here. The fact that you could say I have a
15 worker and I know his exposure could not have
16 been greater than this, and you coul-- and you
17 could say that, but -- and the reason you're
18 saying that is -- and you have good reason to
19 say that. But then you -- then you say but
20 okay, and how did you get that number, that --
21 and say well, I got it because I have a
22 coworker model for workers that worked in this
23 building where we know the exposures were much
24 higher than he could have ever experienced
25 'cause he was outdoors -- working outdoors.

1 Now -- then I say oh, okay, there's no doubt
2 from a -- that you have bound his exposures.
3 Now -- then I -- but then I ask myself the
4 question do you meet the criteria of
5 plausibility that's laid out in Part 83. Now
6 you've just defined a scenario that, in my
7 mind, is not plausible.

8 **MR. MORRIS:** But isn't the point of this to
9 decide whether somebody's got enough physical
10 damage to be -- to have a plausible disease
11 causation and not just whether or not you can
12 invent a scenario?

13 **DR. MAURO:** Well, I mean you could -- see, what
14 you're saying is I could assign any dose to
15 this person, though. As long as you assi-- you
16 -- see, if you're doing dose reconstruction and
17 you give this guy some off-the-charts high
18 number and you deny, I'm fine with it. It's
19 when you grant that's the problem. You see
20 whe-- and then -- and now as an SEC --

21 **MR. MORRIS:** I didn't understand what he just
22 said.

23 **DR. MAURO:** Yeah --

24 **MR. MORRIS:** Could you say that sentence one
25 more time?

1 **DR. MAURO:** Yeah, I was -- other words, if you
2 -- if you're -- if you're processing the person
3 and say listen, I'm going -- I'm -- I -- having
4 a difficult time reconstructing his dose, but I
5 know I could place an upper bound on it, and
6 I'm going to put an unrealistically high upper
7 bound, which is often done -- OTIB-4 was a
8 perfect example of it -- but it was des-- it
9 was done for the sole purpose of denial. That
10 is, even though we've assigned all this dose to
11 this person, because he had this particular
12 type of cancer he doesn't get compensated. So
13 there is nothing -- that -- that works fine.
14 But then -- but that's in the realm of Part 82.
15 When you move into the realm of Part 83, it's a
16 different framework where there's an obligation
17 to say can I cre-- do I understand this man's
18 exposure scenario where I could come up with a
19 plausible exposure scenario and place a
20 plausible upper bound on his dose. Then you
21 would meet the letter and intent of Part 83.
22 But if the scenario that you're using to -- to
23 assign the dose to that worker is not plausible
24 for that worker, I think you've got an SEC
25 issue, and I think you -- and I think that

1 that's what we might have right here. Did you
2 -- did you follow? I mean --
3 **DR. NETON:** Well, no. I mean I --
4 **DR. MAURO:** I gue-- you see what I'm saying?
5 **DR. NETON:** I think right now all you've said
6 is you question our back-extrapolation, the --
7 the accuracy of our back-extrapolation.
8 **DR. MAURO:** Right.
9 **DR. NETON:** And if we can go back and shore
10 that up and show that it --
11 **DR. MAURO:** I agree.
12 **DR. NETON:** -- it's not some scientific -- has
13 some scientific basis, then we're fine.
14 **DR. MAURO:** Right.
15 **DR. NETON:** I -- I reserve the other argument
16 for another -- another working group. I mean -
17 -
18 **DR. MAURO:** Well, the -- see, I mean --
19 **MR. ELLIOTT:** The plausibility argument --
20 **DR. MAURO:** The plausibility argument.
21 **MR. ELLIOTT:** -- is another --
22 **DR. MAURO:** Yeah.
23 **MR. ELLIOTT:** -- you've got two arguments.
24 **DR. NETON:** Right now --
25 **DR. MAURO:** Yeah.

1 **DR. NETON:** -- I'd prefer to address the issue
2 which says you don't believe our back-
3 extrapolation method is scientifically
4 defensible --

5 **DR. MAURO:** But I -- but I want to leave it --

6 **DR. NETON:** -- and we'll do that.

7 **DR. MAURO:** But I want to leave it as an SEC
8 issue --

9 **DR. NETON:** I -- I --

10 **DR. MAURO:** -- for the reason I just gave.

11 **DR. NETON:** -- I'm okay with that. I'm okay
12 with leaving it --

13 **DR. MAURO:** Okay.

14 **DR. NETON:** -- as an SEC issue for that
15 purpose.

16 **DR. MAURO:** Okay.

17 **DR. NETON:** But again, I think that whole issue
18 is another working group's --

19 **DR. MAURO:** And -- and -- okay.

20 **DR. NETON:** They're all wrapped together, I
21 agree, but I don't -- I don't want to take that
22 up in this discussion.

23 **DR. MAURO:** Okay, whatever -- I wasn't -- see,
24 I didn't want to rule it out as a -- not an SEC
25 issue.

1 **DR. NETON:** Okay.

2 **DR. MAURO:** For the reason I just gave.

3 **DR. NETON:** We'll reserve it as an SEC issue
4 for now.

5 **MR. BERONJA:** I guess maybe for those on the --
6 on the phone, sometimes we're not -- we're
7 assuming -- we're looking at the NIOSH
8 response, but everybody else doesn't see them.

9 **DR. NETON:** Right.

10 **MR. BERONJA:** I don't know if you guys want to
11 say what you're going to do related to this...

12 **MS. HUGHES:** Okay, since the -- the
13 environmental approach of the site profile was
14 issued, additional data capture has occurred
15 which consists of about 400 additional
16 documents, and this is currently under revision
17 and -- the -- the approach that is taken, and
18 it will be revised. It is currently under
19 revision, so...

20 **DR. NETON:** We have a lot more information here
21 to rely on that, and I think we can come up
22 with a better -- defensible -- more defensible
23 argument. I would argue that it's plausibly
24 between -- somewhere between the occupational
25 exposure in the plant and the fence line

1 exposure. It's somewhere in that -- in that.

2 **MS. BEACH:** And I want to make sure we're clear
3 there was no surrogate data used for this.
4 Correct?

5 **MR. BERONJA:** That's right, yes. Anything
6 else? Anything else on that one?

7 **MS. MUNN:** Wanda. I was just going to comment
8 Ted -- I think that was Ted -- brings up a very
9 good point with respect to the NIOSH response.
10 Even though I'm fortunate enough to have them
11 now, perhaps as a matter of process in this
12 particular meeting it might be a good idea,
13 since most of the NIOSH responses are
14 relatively brief, might be a wise idea for us
15 to just read them before we discuss the item at
16 great length.

17 **4.4-3**

18 **MR. BERONJA:** Okay. Let's see, the next one,
19 development of breathing zone air concentration
20 is technically not supported -- again, I think
21 we had a few people that looked at this
22 particular one, and I think maybe the -- the
23 end result of this is we don't necessarily
24 think the factor's bad. It just -- there was
25 really no supporting information to -- to

1 support it. You know, I -- I guess I've seen
2 workplace levels based on other criteria where
3 they used the same factor, but there -- there
4 was really no reference there.

5 **DR. NETON:** Just for my own edification, could
6 -- Bob, you explain what we've done there,
7 'cause I -- I'm not clear --

8 **MR. MORRIS:** Yeah --

9 **DR. NETON:** -- what we've actually done.

10 **MR. MORRIS:** -- what happened was there was
11 ambient air sample data for many years
12 available.

13 **DR. MAURO:** The effluent.

14 **MR. MORRIS:** No, ambient --

15 **MR. BERONJA:** Ambient.

16 **DR. MAURO:** Oh, ambient.

17 **MR. MORRIS:** -- ambient air sample data at five
18 --

19 **DR. MAURO:** Plant -- plant --

20 **MR. MORRIS:** -- at five locations in the Santa
21 Susana Area Four for many years. The numbers
22 were all indistinguishable from background.
23 The author of the Technical Basis Document
24 found stack effluent data on top of that for a
25 number of years and, in an effort to be -- fill

1 in the miss-- any missing data and -- and try
2 to put an upper bound on the dose on the intake
3 rates, he then said here's our stack
4 concentrations, and in many cases those were
5 not different from ambient air, either. Then
6 said we know we can bound this as a -- as a
7 bounding approach by taking a factor of 100
8 discount on the average stack effluent and
9 moving that to ground level and let -- have
10 that be the intake rate. It really didn't
11 create doses that were so high that you had to
12 -- had to deal with them another -- any other
13 way.

14 **DR. NETON:** These were ambient environmental
15 doses that we're trying to establish on site.

16 **MR. MORRIS:** Ambient environmental intake rates
17 for air -- for air that are trying to establish
18 we have five points for many years that showed
19 no difference from background.

20 **DR. MAURO:** Yeah, I would agree that the --
21 Hans, did you want to jump out on that?

22 **DR. BEHLING:** Yeah, I guess the -- the issue
23 that also has to come into play here is the
24 concern that this is nothing more than a
25 conversion of air concentrations at the release

1 point that are reduced by a factor of 100
2 someplace in -- in -- in the environ. What it
3 doesn't include obviously is the potential
4 resuspension of contamination that has already
5 been deposited on the ground for years of -- of
6 -- of releases, so the 0.01 factor only takes
7 into consideration this dilution effect from a
8 release point to the air concentration
9 someplace in the environs, but does not
10 incorporate the issue of resuspension of
11 contaminants that have been sitting there on
12 the surface for years. That -- that's one of -
13 - it may not be a very significant
14 contribution, but it's just a comment that I
15 included.

16 **DR. MAURO:** I -- I'd like to add a little more
17 -- to roll the -- see, I saw this as containing
18 three elements, this modeling. One is you have
19 source term information. And as we mentioned
20 before, the release rate, curies per second or
21 the concentration in the effluent in picocuries
22 per cubic meter --

23 **MR. MORRIS:** That's more likely what it is.

24 **DR. MAURO:** -- discharged to the -- being
25 discharged. Now the first question is okay,

1 certainly for the time periods we do have that
2 information, that -- that discharge from
3 concentration and the isotopic mix. Applying
4 .01 is not a bad -- I mean I'm very familiar
5 with chi over Qs in calculations, and let me
6 tell you, you'll put an upper bound on that.
7 The dispersion is going to be much more than
8 that because you know, even -- even close in.

9 **DR. NETON:** Close in.

10 **DR. MAURO:** Even close in. So certainly if you
11 wanted to say I know what the concentration is,
12 the average annual concentration of
13 radionuclides are in the effluent from the
14 stack in picocuries per cubic meter, and a list
15 of isotopes, and I'm going to say no one --
16 then I'm going to multiply that by .01 and
17 assign that to people that are out there, it's
18 no doubt that's bounding.

19 Now -- so -- so I -- now here's -- here's the
20 discussion. It's my understanding, though,
21 that you don't have that data for earlier
22 years, the effluents -- that is, the pico-- the
23 -- what the isotopes were nor the picocur--
24 picocuries per cubic meter are, so we have a
25 back-extrapolation problem that will be

1 addressed in those 400 pa-- so you -- that may
2 go to -- that may be solved by that.

3 **MR. MORRIS:** Could be.

4 **DR. MAURO:** Right. The resuspension question.
5 Certainly you're going to have an accumulation
6 of radioactivity on soil. Depending on the
7 half-life of the radionuclides, you could have
8 quite a bit of accumulation or not. I mean
9 that -- it's -- it wouldn't hurt to air that
10 out a little bit in the report. I think that's
11 tractable is coming to grips with that if you
12 deal with the first one. Other words, once you
13 get to the point where you know what your
14 concentration mix is and the quantities being
15 discharged, you certainly could place an upper
16 bound on what might be on the ground and what
17 might be the resuspension. So what you -- of
18 course we may have some dis-- discussion on
19 what resuspension factors to use, we've done
20 that before, but that's not an SEC issue. The
21 SEC issue is do those 400 books -- eight pages
22 -- give you the information you need to go
23 backward in time.

24 **MR. MORRIS:** And we don't know the answer to
25 that.

1 **DR. MAURO:** And we don't -- you -- right.

2 **DR. NETON:** Yeah, see, that -- that argument's
3 not -- that doesn't come out in the finding I'm
4 looking at here. I guess -- and I'm looking at
5 the original finding -- it basically just says
6 it doesn't believe the 0.1 has been
7 sufficiently --

8 **DR. MAURO:** Yeah, I'm real --

9 **DR. NETON:** -- answered.

10 **DR. MAURO:** -- I -- I tend to -- I -- I see
11 this as one thing.

12 **DR. NETON:** Yeah, I can almost see these rolled
13 into one finding.

14 **DR. MAURO:** Yeah, it's one --

15 **MR. BERONJA:** They could be. Uh-huh.

16 **DR. MAURO:** -- it's one story. But now let's
17 talk a little bit about the .01. This is the
18 first time that I've seen it used. I have no
19 doubt that it's bounding, but in every other
20 case, every site I've -- we've reviewed that I
21 could recall, there's been 30 of them, you
22 always the average annual chi over Q where you
23 took joint frequency data and applied it, came
24 up with a sector averaged or a center --
25 centerline chi over Q value. This is certainly

1 a shortcut, and it's certainly a bounding
2 shortcut, and this brings us -- and you know,
3 that's -- and I don't -- I guess I don't have -
4 - I'll do -- I just was surprised to see you
5 using that approach here. It's the first time
6 I've seen it.

7 **MR. MORRIS:** I think the author looked at the
8 data that were available and said, you know,
9 whether we fine-tune it or not, it's still a
10 small number.

11 **DR. NETON:** There just weren't -- weren't many
12 stack releases to begin with --

13 **MR. MORRIS:** That's right.

14 **DR. NETON:** -- during that period, so -- okay.

15 **DR. BEHLING:** John, also just a comment. If
16 you're thinking that 0.01 is a -- very
17 definitely a (unintelligible) and bounding
18 estimate, then it very well may be. Also this
19 issue of the 0.01 reduction factor has to be
20 used in context with the previous finding that
21 says prior to '71 we don't have any data, but
22 we do know that the amount of activity and
23 operational activity that might have released
24 much larger quantities earlier on for which
25 time you don't have any data, you may have a --

1 a compensation effect here that says yes, by
2 use of the 0.01 we are bounding a chi over Q
3 value, but we're also perhaps compensating for
4 higher releases that occurred earlier on for
5 which we have no data. And so perhaps the two
6 of them are connected and -- and -- and perhaps
7 we can let go of both of them by using the 0.1
8 as a claimant-favorable default value that
9 compensates for earlier releases that may have
10 been higher than those that were monitored
11 post-'71.

12 **DR. MAURO:** Yeah --

13 **DR. NETON:** I agree, Hans.

14 **MS. MUNN:** Just reading these findings and the
15 responses, and having read some of the basic
16 documents but not that thoroughly, there's a
17 little confusion. Neither of these use the
18 term that was just used in the discussion;
19 i.e., resuspension. Resuspension of -- is
20 there an inference that there's particulate
21 emission here? What --

22 **DR. NETON:** Yes. Wanda, this is Jim. That --
23 that is covered in the original review. It got
24 lost in the translation onto the matrix, is
25 what I just noticed.

1 **MS. MUNN:** Okay.

2 **DR. NETON:** There's a sentence about
3 resuspension in the -- in the actual site
4 profile review.

5 **MS. MUNN:** That's such a hot button word that
6 it seems to me if that's what we're going to be
7 discussing in these findings somewhere, that
8 ought to appear.

9 **MR. MORRIS:** And let me just refer to one more
10 thing you should look at. In Section 4.5 of
11 the site profile -- I'm quoting it now -- it
12 says from 1959 to present ambient gross beta
13 activity in air has been continuous -- has been
14 measured continuously in five locations. From
15 1963 on gross alpha was -- activity was
16 measured. And then it goes on to explain that
17 none of these data were different from
18 background. So --

19 **MS. MUNN:** Right.

20 **MR. MORRIS:** -- it's not that there's a
21 shortage of data in general, it's a shortage of
22 stack data that was complementing -- that this
23 ambient measurement.

24 **DR. NETON:** Which would tend to indicate the
25 resuspension might not be a problem if --

1 **DR. MAURO:** That's right, if it's --

2 **DR. NETON:** -- background levels --

3 **DR. MAURO:** -- been accumulating over the
4 years, you would see more -- unlike the air
5 concentrations where you -- you know, the back-
6 extrapolation needs to be researched with --
7 what I'm hearing is that well, if there's going
8 to be a resuspension problem, you're going to
9 see it more as the years go on because you're
10 accumulating stuff on the ground.

11 **DR. NETON:** Right.

12 **DR. MAURO:** I understand that, yes.

13 **MR. GIBSON:** We're done on that one. Before we
14 move on I've had a couple of requests -- we'll
15 go ahead and take our morning break now and --

16 **MR. BERONJA:** Or could we just maybe summar--
17 summarize that? I -- maybe for those on the
18 phone in particular, I guess the response to
19 both of them was -- to the first one was that
20 NIOSH was going to review these 400 documents
21 that they now have in possession to see if the
22 surrogate stuff can be essentially -- rely on
23 this other -- this other information. And then
24 the latter one said that the basis for the
25 factor will be described in the next revision.

1 **DR. NETON:** That's fine.

2 **MR. BERONJA:** So I think both of those have
3 been addressed satisfactorily I think in our
4 minds, so I guess we can go.

5 **MR. GIBSON:** We'll go ahead and take our break
6 now. We'll be back at 11:45, 11:50.

7 **MR. KATZ:** Okay, I'm just going to put the line
8 on mute.

9 (Whereupon, a recess was taken from 11:35 a.m.
10 to 11:50 a.m.)

11 **MR. KATZ:** Are y'all ready to start up again?
12 That's okay?

13 **MR. GIBSON:** Okay, we're back in session here.
14 I believe we just finished up with 4.4.3 and
15 ready to move on to 4.4.4.

16 **MR. BERONJA:** Okay.

17 **MS. KLEA:** This is Bonnie. Could I ask a
18 question?

19 **THE COURT REPORTER:** Who?

20 **MR. KATZ:** Yes.

21 **MS. KLEA:** Do I have Dan (unintelligible) on
22 the line?

23 **MR. KATZ:** Okay, it's Bonnie -- Bonnie.

24 **MS. KLEA:** Yes, I wanted to make a comment
25 about the background levels. We are currently

1 in the community working on re-establishing
2 background, so I don't know if that's an
3 important point or not. Also we have 14
4 stories high of new information that has been
5 released from the Boeing Company under a
6 federal lawsuit, so there's a lot more than 40
7 new documents. We have like 14 stories high of
8 documents. And if Dan was on the line, Dan's a
9 30-year activist on this (electronic
10 interference), he -- he (electronic
11 interference) on the background levels, so I
12 don't know if he's on the line or not.

13 **MR. KATZ:** Bonnie --

14 **MS. KLEA:** That's all.

15 **MR. KATZ:** Okay, tha-- thank you, Bonnie. We'd
16 -- I don't know if the person you're speaking
17 of is on the line, either, but --

18 **MS. KLEA:** Okay. Anyway, we are redoing the
19 background numbers so whatever that's going to
20 mean, I don't know.

21 **MR. KATZ:** Thank you. So then you may be
22 submitting more information. Is that what
23 you're saying?

24 **MS. KLEA:** Well, we have -- like I say, we have
25 on -- on the -- on the computer over at

1 Department of Toxic Substance new information
2 and it's -- it's restricted so I -- we have to
3 go into the office, but we have found lots of
4 new data that's quite alarming on what happened
5 in the early years, and I'm not sure quite, you
6 know, how to get that to -- I guess I'd be
7 working with Michael -- Michael Gibson on that.

8 **MR. KATZ:** Right.

9 **MR. ELLIOTT:** Well, Bonnie, this is Larry
10 Elliott. If you have new information relevant
11 to your petition --

12 **MS. KLEA:** Yes.

13 **MR. ELLIOTT:** -- I would suggest strongly that
14 you need to submit it to NIOSH under your
15 petition so that it can be evaluated by the
16 Advisory Board, by NIOSH, by -- by all parties.

17 **MS. KLEA:** Okay. Also -- well, we have a lot
18 of accident reports in this new information and
19 I'm wondering if you're using accident reports
20 other -- or the -- from other claimants. Are
21 you comparing -- are you comparing claims from
22 all the workers to look at the different
23 accidents?

24 **DR. NETON:** We always look through the claim
25 files for information to help us finish --

1 complete our dose reconstruction -- this is Jim
2 Neton. I also have a question, though. I
3 think -- I think a lot of the information that
4 you're talking about might not be radiological
5 information. It's my understanding there's
6 some NEPA issues and discussions going on out
7 there, and that would be more related to
8 environmental contaminants and not specific to
9 radiation.

10 **MS. KLEA:** Well, I can tell you we have
11 progress reports from 1956 and they're talking
12 about building a hole in the ground 15 by five
13 feet next to a fault up at the Burrough Flats*
14 area and directly dumping all of the
15 radionuclide -- liquid waste, 1,000 gallons per
16 week, directly into the ground.

17 **DR. NETON:** Okay. Well...

18 **MR. KATZ:** Well, Bonnie, cert-- certainly we'd
19 welcome any information that you want to
20 supplement your petition with.

21 **MS. KLEA:** Okay, should I --

22 **MR. KATZ:** We'd welcome --

23 **MS. KLEA:** -- it in?

24 **MR. KATZ:** -- that information.

25 **MS. KLEA:** Okay. Thank you.

1 **MR. ELLIOTT:** That's all you have to do,
2 Bonnie, is mail it in to NIOSH and we'll make
3 sure that it's entered into the petition that
4 you filed and shown on the site research
5 database for technical staff and SC&A and the
6 Board to review.

7 **MS. KLEA:** Okay, thank you.

8 **MR. KATZ:** Thank you, Bonnie.

9 **MR. GRIFFON:** Ted and -- and Mike, this is Mark
10 Griffon. I've -- I've been on the phone a
11 little while listening in, but I just wanted to
12 let you know that I was out here.

13 **MR. KATZ:** Right, we -- I knew you were out
14 there, Mark.

15 **MR. GRIFFON:** Oh, okay.

16 **MR. KATZ:** Welcome, thanks.

17 **MR. GRIFFON:** You heard me, huh?

18 **MR. KATZ:** Yes.

19 **MR. GRIFFON:** Okay, thanks.

20 4.4-4

21 **MR. BERONJA:** Okay. So I think as Mike
22 mentioned, the next issue we have is issue 4.4-
23 4, which talks about the justification for
24 assignment of external dose estimates is not
25 provided. And again I think Hans, if -- if

1 you're ready or able to provide any additional
2 background on this that you'd like to, I think
3 this was one of your comments.

4 **DR. BEHLING:** Yeah. Unfortunately I'm looking
5 at what I originally submitted and I do only
6 make references to various sections in TBD four
7 without at this point recalling what is -- what
8 those sections really contained. But in -- in
9 some way I would have to go back -- I will only
10 -- from what I had written to you in my
11 original write-up and that is there is really
12 very little that is used to justify or -- the
13 absence of external dose monitoring really
14 provides little data for how these exposures
15 may have been estimated prior to 1974. In
16 other words, there is no technical support or
17 reference for the assumptions that were stated
18 for -- for these (unintelligible) dose from
19 external radiation -- ambient external
20 radiation. And -- and I guess I'm referring to
21 Table 4-4 of the TBD with those values. It's a
22 question of how were these values derived,
23 what's the -- the bas-- technical basis for
24 those assigned values.

25 **DR. MAURO:** It might be worth reading the NIOSH

1 response.

2 **MS. HUGHES:** Right. The offic-- well, the
3 NIOSH response is that the basis for the
4 assumptions are -- are currently being reviewed
5 and they will be described in more detail in
6 the next revision of the TBD. And this is
7 something that's currently in progress.

8 **MR. BERONJA:** And again, this is -- is noted as
9 an -- as an SEC issue, and I guess maybe
10 depending on what you all find in -- in the
11 next revision, this may or may not be, so we --
12 we kind of leave this open until we see the --
13 the next revision. Anything else on that
14 issue?

15 **4.4-5**

16 The next one, and -- I think is actually one of
17 mine that I -- is the use of potable water, and
18 is not consistently presented in the site
19 profile. And actually probably the wording on
20 that is not very good. The real finding here
21 is that the -- is that it does appear potable
22 water was used at that area, you know, early on
23 in the period and maybe throughout some
24 different periods. The TBD states that potable
25 water is not a source of occupational radiation

1 exposure. And in fact I think -- if I remember
2 right, I think it even goes as far as stating
3 that it wasn't even used, so I think there's
4 just maybe -- I think the SEC petition was a
5 little bit more correct as far as what it
6 stated there, so I think there's just some
7 additional information that needs to be
8 presented as far as -- and I think that I -- I
9 think probably within our actual site profile
10 review there's some references that -- that we
11 went through as far as some of the documents
12 and -- and what they had to say.

13 **MS. HUGHES:** That is correct. The TBD stated
14 that the potable water was not the source of
15 occupational exposure, and to this -- as far as
16 we know, there has not been any radioactive
17 contamination been detected in any of those
18 wells that were formerly used for drinking
19 water supply. Now we do have some sampling
20 wells on the site that have found levels of
21 tritium, but these were not used for drinking
22 water. However, in the evaluation report for
23 the SEC we used this as an example. We're
24 saying we could bound the dose by using levels
25 that were found in these wells. So this is not

1 actually an -- an exposure scenario that did
2 exist, but it -- it can be used to bound the
3 dose.

4 **MR. BERONJA:** Yeah, and I think there was one -
5 - I -- maybe it was even one of the dose
6 reconstructions that was referenced -- may have
7 been referenced in the site profile, I can't
8 remember, or somewhere else -- where they did
9 come up with a scenario as far as potential
10 exposure to -- they made some assumptions where
11 there were some radionuclides in the potable
12 water and what the type of exposure would have
13 been. So I think that -- that discussion as
14 far as potable water just needs to be cleaned
15 up in the -- in the site profile.

16 **MR. KATZ:** So Greg, this is not an SEC issue
17 then, 'cause it's listed as one.

18 **MR. BERONJA:** This is -- you know, the
19 likelihood of this being an SEC iss-- it
20 probably really shouldn't be.

21 **DR. MAURO:** Well, if -- if it's bound-- other
22 words, would the -- what I -- what I'm hearing
23 is if it's determined that -- there's a
24 possibility that some workers may have consumed
25 water that might have contained levels from the

1 -- not the same level as the monitor wells. In
2 other words, you're saying that we have monitor
3 wells and we have drinking water wells.

4 **MS. HUGHES:** Well, the drinking water wells
5 were in a different area of the site. They
6 were in like Area One and Two, which is a
7 little ways away, and I think they act as a
8 different aquifer.

9 **DR. MAURO:** Okay, and there's -- and there's
10 good reason to believe that -- that the monitor
11 wells would have had much higher levels than
12 (unintelligible) existed -- that's what I'm
13 hearing the argument.

14 **MS. HUGHES:** What I understand is the
15 monitoring wells were drilled near the -- what
16 they expect to be the source, which is like a
17 reactor building where you had concrete
18 activation, if I'm not mistaken, and that --
19 that's what I think the source is, and they
20 drilled some wells around to sample -- that's
21 where they found a tritium plume, and it has
22 since then migrated, but from what I've read,
23 the -- the actual wells that have been -- have
24 been used for drinking water historically are
25 remote from that and acts as a different

1 aquifer, so...

2 **MS. MUNN:** John, some of the source material
3 that I was looking at earlier had fairly
4 extensive maps of Area Four where there were
5 numerous sampling wells, but those were not the
6 potable water. It would be I think
7 unreasonable to assume that -- that someone was
8 drinking water from the sample wells that were
9 drilled rather than from the water supply that
10 was made available, which was not from that
11 area.

12 **MR. BERONJA:** Yeah, I think -- I think in this
13 particular case the data out there, as far as
14 being able to clearly say that there was no
15 radiation in these wells, I'm not sure if
16 that's really there. I think that probably
17 just a further review of all that -- and I'm
18 not sure if it's worth the equivalent of almost
19 a white paper or something to try to compile as
20 good a information as we have on this because,
21 you know, there's not -- I don't know if I saw
22 any maps that show the different aquifers or
23 locations and everything else that summarized
24 all this really nicely. There's just a lot of
25 spotty information out there that you have to

1 kind of piece together, so I think the
2 likelihood that there's any real exposure of
3 concern is probably very low. But still it'd
4 be nice to kind of clean up this issue.

5 **MS. MUNN:** Pull it all together.

6 **MR. BERONJA:** Right. Right.

7 **MS. KLEA:** This is Bonnie. Could I add a
8 comment?

9 **MR. KATZ:** Yes, Bonnie.

10 **MS. KLEA:** Okay. We found that -- we found
11 maps of the piping that piped water from Area
12 Four into all the other areas, and it was used
13 as reclaimed water to cool the rocket engines,
14 and it was used for irrigation. So I would
15 think it would be safe to say that whatever
16 water was in Area Four from the -- the
17 groundwater was distributed throughout the
18 whole site.

19 **MS. MUNN:** But not as drinking water.

20 **MS. KLEA:** Well, it would -- it would have
21 migrated into the aquifer, and I have
22 information from the Health Department of
23 Ventura County that that -- we were drinking
24 groundwater well into the '80s and they knew it
25 was contaminated.

1 **MS. BEACH:** So I heard mention of a white
2 paper. Does NIOSH agree that they would --

3 **DR. NETON:** Well, not necessarily.

4 **MS. BEACH:** That's my question.

5 **DR. NETON:** I'm looking through the analysis on
6 the SEC and I can't find it, but we've
7 addressed that in the SEC evaluation report. I
8 think Lara just indicated that in a worst-case
9 upper bound one could assume that people drank
10 the -- the tritium in the water that was taken
11 from the monitoring wells, and that could be
12 used to bound the exposures, so -- I mean I --
13 I don't know whether that merits a whole white
14 paper or not, but -- I don't -- I -- I'd have
15 to go back and actually -- I think the action
16 item is we have to go back and look at what
17 we've done in the evaluation report.

18 **MS. HUGHES:** I think what -- what you've stated
19 is correct.

20 **DR. NETON:** This is correct, and I don't know
21 if we did an example dose reconstruction to
22 that effect --

23 **MS. HUGHES:** I believe we did.

24 **DR. NETON:** I think we may have, so what I'm
25 suggesting is we may have already done this, to

1 some degree. And whether it's sufficient for -
2 - for the working group to look at and use to
3 close out the issue, I don't know yet. But
4 let's -- let's -- we'll go back and look at
5 what we've done in the evaluation report and --
6 and start from there.

7 **MR. BERONJA:** Yeah, I think what you're saying
8 is true. I think there was at least one dose
9 reconstruction that took a -- a step further
10 and made some assumptions.

11 **DR. NETON:** Right.

12 **MR. BERONJA:** The SEC has more information than
13 the site profile, and the site profile pretty
14 much dismisses it, so --

15 **DR. NETON:** Right.

16 **MR. BERONJA:** -- we've got three levels -- two
17 different levels of detail on this, so...

18 **DR. NETON:** It's sort of an artifact of how
19 we're approaching this. We've got an SEC thing
20 and we've got a site profile, but we'll go back
21 and piece together what was in the evaluation
22 report and use that, to the extent possible, to
23 justify what we're doing here. And if it needs
24 more to be fleshed out, then we'll be happy to
25 do a white paper, but I don't know that we need

1 to do that at this point.

2 **MR. BERONJA:** Uh-huh. Anything else on that
3 one?

4 **4.4-6**

5 The next one is a lack of -- this again gets
6 back to some of the -- maybe the other areas or
7 incidents -- it's a lack of information on the
8 sodium burn pit and other areas of radiation
9 sources. And -- and again I think in the
10 particular case of the sodium burn pit, I think
11 there is more information that probably could
12 be pulled together to look at -- at exposures
13 there. And in think in summary that's really
14 the main point, but I haven't looked at the
15 NIOSH response yet.

16 **MS. HUGHES:** Okay, let me just read the NIOSH
17 response. Additional information on the burn
18 pit will be included in the future revision of
19 the TBD. However, the burn pit was an open,
20 unconfined area that was not continuously
21 occupied. In addition, significant
22 radiological exposures resulting from worker
23 activities in the vicinity of the sodium burn
24 pits are unlikely because of the controls in
25 place at this location. For example, workers

1 were required to remain a safe distance from
2 the pits, including lined and unlined pits and
3 ponds, because of the potentially violent
4 reactions that could occur in the case of
5 sodium or potassium making contact with water.
6 After the discovery of the inadvertent
7 contamination of the area, it was subject to
8 periodic surveys and soil sampling until it was
9 cleaned up. These surveys indicated low levels
10 of contamination. The review of our claimant
11 files indicates that workers who did work at
12 the facility were indeed monitored. Those were
13 typically fire-- firemen, actually.

14 **DR. MAURO:** And -- and positive bioassay
15 results observed?

16 **MS. HUGHES:** I could -- I would have to go back
17 and look. It -- it's hard to determine -- if
18 you say -- a person makes the statement oh, he
19 or she worked at the burn pit occasionally, and
20 you look at the person's bioassay data, you
21 cannot say for sure oh, this particular value
22 is a result from this exposure there. Now
23 these actions at the burn pit might have taken
24 place maybe a couple of hours a week or so. It
25 was not some -- somebody being exposed

1 continuously or even somebody working there for
2 eight hours a day. That's unlikely because
3 it's not -- there's not a building there. This
4 is just a -- a little site -- a little area
5 away from where they would react to sodium.

6 **MS. MUNN:** And the only thing that would have
7 been there that would have been of radiological
8 concern would have been contaminated sodium.
9 It wouldn't have any of the normal isotopes of
10 concern when you're -- you're dealing with fuel
11 or anything of that sort. It -- it would only
12 have been sodium and -- and Na^* , that's all
13 that was there.

14 **MS. HUGHES:** Well, they did -- they did
15 actually -- I think they did incinerate some --
16 maybe some oils or organic compounds, to a
17 small extent. That was not the main purpose of
18 this site, but we -- we can't entirely rule out
19 that they didn't incinerate other things. It
20 was not intended to incinerate or dispose of
21 radio-- radioactive contamination.

22 **MS. MUNN:** Yes, that -- that was the point I
23 was trying to make. Primarily you're looking
24 at Na^* and -- and sodium, and anything else
25 would not have been likely contaminated -- or

1 radiologically contaminated.

2 **DR. MAURO:** Is there any guidance that's
3 offered to the dose reconstructor on how to
4 deal -- to reconstruct exposures to workers who
5 might -- for example, you had mentioned that
6 there might have been some folks that had
7 bioassay samples --

8 **MS. HUGHES:** Yes.

9 **DR. MAURO:** -- that worked in the vicinity of
10 this? Is there any guidance right now to
11 explain -- okay, you have a claimant that might
12 have had job responsibilities that put him in
13 contact or in proximity to this activity. Is
14 there any guidance on how do you reconstruct
15 his doses?

16 **MS. HUGHES:** I do not think the guidance is any
17 different from any other worker that would have
18 been exposed to internal or external
19 radioactive contamination that -- during
20 operations at the sites and -- I don't know,
21 anybody want to add anything?

22 **MR. SCHOFIELD:** Jim, I'd like to backtrack for
23 a second. You addressed the tritium in the
24 water wells in 7.4.1.3 --

25 **DR. NETON:** Okay, thank you.

1 **MR. SCHOFIELD:** -- of the evaluation.

2 **DR. NETON:** What was that again, Phil? I'm
3 sorry, I --

4 **MR. SCHOFIELD:** 7.4.1.3.

5 **DR. NETON:** Thank you.

6 **MR. ELLIOTT:** Page 50 to 64.

7 **DR. NETON:** Yeah, I don't have -- thank you,
8 Phil. I don't have anything to add to what
9 Lara said about the burn pits other than we did
10 commit to adding some additional information on
11 the burn pit, so I think we're okay just
12 leaving it where it is right now --

13 **MR. BERONJA:** Okay.

14 **DR. NETON:** -- and give us a chance to --

15 **MR. GRIFFON:** Can I -- this is Mark Griffon.

16 Can I ask one question about the burn pit?

17 Just to follow up on Wanda's statement, not
18 likely that there was radiological

19 contamination in these things. I've cleaned up
20 some of these things and it might not have been
21 -- you know, the -- the objective, but it

22 certainly did happen. I wonder if there's any

23 of these -- you talk about later surveys that
24 were conducted in these areas, is any of that

25 information available, and what -- and did they

1 find, you know, contamination and what
2 radionuclides? I mean maybe that can be sort
3 of what you add if you're going to revise it
4 anyway.

5 **MS. HUGHES:** Yes, they actually did find some -
6 - I think they found cesium and strontium --
7 strontium-90, if I'm not mistaken. And yes,
8 the survey data is available, as are the
9 decontamination reports, so there could be some
10 additional detail that could be added.

11 **MR. GRIFFON:** And the only -- the only other
12 follow-up as far as dose reconstruction, I
13 think just to -- to -- I -- I understand you --
14 you would say probably if someone worked in the
15 burn pit area, if they were on the appropriate
16 bioassay monitoring program, then there's no --
17 no special treatment. Right? Is that kind of
18 what you're saying? As long as you have
19 bioassay data, if they're on the routine
20 program and the right radionuclides are being
21 measured, then there's no need to do any
22 special assessment of the burn pit.

23 **DR. NETON:** I think that's a fair statement,
24 yeah.

25 **MR. GRIFFON:** So -- so -- okay. That's fine.

1 **MS. MUNN:** And those -- the isotopes mentioned,
2 which obviously didn't come out of the sodium,
3 would clearly show up in bioassay. Right?

4 **DR. NETON:** Well, cesium would. Stronti--
5 depending -- if they measured for it, yes,
6 these are detectable with standard bioassay
7 techniques.

8 **MR. GRIFFON:** That's the real question. If
9 someone mentions the burn pit in their CATI
10 interview and these sort of radionuclides are
11 not in their bioassay information, then you
12 might have a -- a little bit of an issue, but -
13 -

14 **DR. NETON:** Right.

15 **MR. GRIFFON:** Yeah.

16 **MS. KLEA:** Can I add a comment? This is
17 Bonnie.

18 **MR. KATZ:** Yes, Bonnie, go ahead.

19 **MS. KLEA:** I think the sodium burn pit's a
20 bigger issue than -- than you're looking at
21 because we were in an area of the Santa Ana
22 winds that could blow from 50 to 100 miles per
23 hour, and we also have evidence of a deceased
24 worker who was ordered to dump and pump out the
25 sodium burn pits over the hill, and of course

1 he's deceased now from cancer, but he gave his
2 testimony before he died. I think the sodium
3 burn pit is a huge issue and the firemen who
4 may or may not have burned things in that pit
5 were not covered under this program because
6 they were considered as employees of Rocketdyne
7 and they wore no protective clothes. So I know
8 a lot of the families of the firemen and they
9 all died of cancer and they were not covered
10 under this program. Thank you.

11 **MR. KATZ:** Thank you, Bonnie.

12 **MR. BERONJA:** Anything else on that issue?
13 Otherwise we'll look at NIOSH's response on
14 that.

15 **4.5-1**

16 I think we now move into the internal side and
17 actually I think we start off with -- looks
18 like more of a -- much more general comment
19 that internal monitoring was not complete or
20 well-documented. Hans, I don't know if you're
21 able to or want to elaborate any further on --
22 on this comment. I don't know -- this may I
23 think partly come from you or --

24 **DR. BEHLING:** Yeah, this -- this does come from
25 me, Greg, and -- and I guess to -- to really

1 get a flavor for it, I think you would almost
2 have to go from the matrix to the original
3 evaluation report that we submitted that
4 contains a whole series -- in fact I'm looking
5 at it now and it's kind of difficult to
6 summarize all of the comments, but they were --
7 a large number of comments that were taken
8 directly out of the TBD. And -- and I started
9 out by quoting a statement that goes as
10 follows: Early 1960s AI documents describe all
11 of the elements of a comprehensive radiation
12 safety program, including laboratory with
13 bioassay capability. And that would suggest to
14 the casual reader that all was well and there
15 was a comprehensive program that would monitor
16 workers for internal exposures by whatever
17 bioassay tests were appropriate.
18 But then you go through the TBD and again I
19 have taken statements that I describe both to
20 the -- in the document that I submitted to you,
21 Greg, and those documents were pretty much
22 incorporated into your write-up, and you have
23 to really go through each of those comments to
24 understand what some of the limitations were
25 with regard to the types of bioassays and the

1 time period during which those bioassays were
2 used to monitor workers. And there were
3 clearly some serious potential problems with
4 understanding exactly what types of assays were
5 used, what were these assays capable of under--
6 of identifying in terms of the radionuclides,
7 in terms of -- of the MDA values that could be
8 assigned when the responses were less than
9 reportable. And one of the major problems you
10 have to look at was the use of eight vendors
11 that were used, and there's very little
12 documentation that supports the type of methods
13 used in the bioassay and the sensitivity of
14 those assays, et cetera, et cetera. So it's
15 hard to -- to really summarize all of the --
16 the statements that I included, but clearly --
17 especially for the early years when we talk
18 about fission products from reactor operation,
19 which are most capable of being monitored by
20 whole body counting, that did not exist. In
21 fact, whole body counts weren't really in vogue
22 for -- for most years of the facility
23 operation. So rather than trying to go through
24 it, if -- for those people who have the -- the
25 original write-up, you can sort of go through

1 them and convince yourself that bioassays were
2 less than complete and -- and perhaps had
3 severe limitations based on the type of
4 bioassay that were used during various time
5 periods and -- and the lack of documentation
6 that would allow us to go back and sort of say
7 what -- what were the laboratories using at the
8 time for assessing internal exposures based on
9 urinalysis as their principal source for worker
10 monitoring.

11 **MR. BERONJA:** Yeah, those -- for those of you
12 who don't have a -- the full site profile
13 review, Hans actually had a -- there's two
14 pages of excerpts that he has out of the TBD
15 there kind of supporting his case, and probably
16 much of this is also fleshed out in some of
17 these later comments which provide more
18 specifics. But maybe it's worthwhile just
19 having NIOSH's response to -- to the comment.

20 **MS. HUGHES:** Okay. Yeah, I cannot address each
21 -- each item that -- that was outlined here. A
22 lot -- a lot of these statements are sort of
23 picked out of the TBD and they need to be
24 viewed in context. For this site, from all the
25 information we have, not just the claimants'

1 bioassay, but we also have our site research
2 database where we have numerous memos and
3 communication between the site and bioassay
4 vendors, we actually have a fairly good picture
5 of what went on. And maybe some of these
6 things need to be clarified in the TBD, but we
7 do in fact know when bioassay was started, what
8 -- what method was used with radiometric
9 uranium determination. We have guidance what -
10 - which workers were put on bioassay.
11 Obviously the program was -- it -- it ramped up
12 once it was started. It -- it was initially
13 done in-house, and then they determined that
14 they needed more bioassay capability and they
15 solicited for vendor input. That's where this
16 eight vendors comes from. Actually not all of
17 these appear to have done bioassay, but they
18 provided input offering their services to do
19 bioassay to decide, so -- and in -- in these
20 vendor communications, the vendors typically
21 state what -- what procedures they are using
22 for the analyte* to be determined and also what
23 their detection level is. So there's actually
24 quite -- quite a number of documents available
25 that paint a pretty good picture that the

1 internal data -- in the early years it is more
2 scarce than in the later years, once the -- the
3 processes with the vendor -- like where samples
4 went to the vendors were in place, so...

5 **DR. BEHLING:** Let me --

6 **MR. ELLIOTT:** And it's also the reason why
7 we've -- we've recommended a class in the early
8 years.

9 **MS. HUGHES:** Yeah, pre-- pre-1958 there is no
10 bioassay data. Now in -- in 1958 the bioassay
11 starts with uranium and mixed fission product
12 determinations. Later on they bring in vendors
13 who do -- who do the analyses instead of them
14 being done on site.

15 **DR. MAURO:** In a -- in a classic SEC peti--
16 wherein -- I'm going to move a little bit
17 through its relevance to SEC.

18 **MS. HUGHES:** Okay.

19 **DR. MAURO:** What I'm hearing is that, you know,
20 your research has demonstrated that starting in
21 '59 there's extensive bioassay data covering a
22 broad range of radionuclides that might be of
23 importance.

24 **MS. HUGHES:** It is extens-- well, the -- the
25 number of monitored workers increased from --

1 **DR. MAURO:** Increased and -- and started to
2 build.

3 **MS. HUGHES:** Yes, and start to build. Now we
4 need to correlate this with the exposure
5 potential of workers because as I understand
6 the operations on the site, the number of
7 employees increased as well. I think it peaked
8 around the early '60s -- '62, '63 -- so you
9 have to look at that as well. In 1959 the
10 procedures were in place that samp-- samples
11 were sent to vendors.

12 **DR. MAURO:** In a -- in a classic SEC review, as
13 we have done in the past, it's at this point
14 where we start to move on beyond what we
15 normally do in a site profile review. And as I
16 mentioned earlier when we first started this
17 discussion, it's an important point of
18 departure and a judgment that needs to be made
19 by the workgroup. When we have a circumstance
20 where our initial review of the documentation
21 seems that they're dead or sparse, or perhaps
22 not representative of all the workers or the
23 conditions or isotopes, et cetera, but
24 nevertheless NIOSH feels that no, we have a
25 pretty robust database and it builds nicely

1 over time, at this point -- and this is always
2 the choice of each workgroup; some workgroups
3 want more of this than others -- we would
4 normally go in and sample, by year, by worker
5 category or facility type, see what comes out
6 of the bioassay data that are there and the
7 degree to which it meets some threshold, which
8 is a judgment call of course, as to whether or
9 not there's sufficient data to do -- either do
10 the dose reconstruction for the worker
11 themselves or to perhaps pool the data in a way
12 that will allow you to construct a coworker
13 model. We're -- I'm sorry, my phone should not
14 be on. So in any event, I guess what I'm
15 saying is there's really not much more we can
16 say on that. Sorry.

17 **MR. GRIFFON:** I guess I would ask, before I --
18 I don't disagree with John's comment, but I
19 would ask -- first, this is Mark Griffon --
20 whether this -- is this data available in sort
21 of spreadsheet format, or -- or is it not
22 available in that fashion right now? I guess
23 since you're using individual records, it may
24 not be in any kind of a -- a spreadsheet. I'm
25 just curious of monitoring over time, which

1 radionuclides, how much, that sort of
2 information. And if it was in a spreadsheet
3 it'd be easy to kind of glance at it, at least
4 initially, but it may be that you just -- you --
5 -- you're relying on individual records so you
6 don't -- you di-- you didn't compile anything
7 at this point. I don't know.

8 **MS. HUGHES:** Yeah, we only have -- well, we
9 mostly have stuff that's a compilation that is
10 based on the claims we have received, so it's
11 not -- we cannot make a claim that it's
12 complete.

13 **DR. NETON:** But don't we have the data that
14 were used for the epi study?

15 **MS. HUGHES:** Yes.

16 **DR. NETON:** The Boice?

17 **MS. HUGHES:** Yes.

18 **DR. NETON:** See, there was a complete epi
19 study, Mark, as you probably know --

20 **MR. GRIFFON:** Yes.

21 **DR. NETON:** -- (unintelligible) did a study and
22 there's a large amount of -- of -- particularly
23 uranium bioassay data available for this --
24 this population, and I thought we had an
25 electronic copy of that database.

1 **MS. HUGHES:** It's in CEDR.

2 **DR. NETON:** It's in CEDR, okay. So it's a CEDR
3 de-identified, but at least that could be used
4 to look at the relative magnitude of the
5 numbers over time. It would be de-identified,
6 of course.

7 **MR. GRIFFON:** That might be useful if that can
8 be put in the folder on the O drive.

9 **DR. NETON:** Yeah, I think --

10 **MR. GRIFFON:** Or -- or a location where it's
11 at, that would be -- that would be useful to
12 look at.

13 **MS. BEACH:** Is there also a list of the labs
14 that were used somewhere that we can look at?
15 'Cause you -- you mentioned eight vendors.

16 **MS. HUGHES:** Yeah, that's in the TBD. However,
17 I've looked at all the claimants' files and I -
18 - there are certain labs that are -- seem to
19 have provided the bulk, and some of them seem
20 to only have provided some results from spiked
21 samples that appear to be part of the
22 solicitation process 'cause the site was, you
23 know, picking and choosing the vendor they
24 wanted to work with.

25 **DR. NETON:** But the list is in the site profile

1 --

2 **MS. HUGHES:** There's this list of eight.
3 However, I think I have a -- I could provide
4 that.

5 **MR. MORRIS:** There is on the O drive a data
6 capture temporary files, the Santa Susana Field
7 Lab bioassay data.

8 **DR. MAURO:** In terms of --

9 **MR. MORRIS:** It's in -- it's in a directory
10 dated 3/13/2008, if that helps.

11 **MR. GRIFFON:** Yeah, I think I can find that.
12 Thanks.

13 **MR. MORRIS:** You're welcome.

14 **DR. MAURO:** One of -- from perspective of one
15 of our missions when we did our site profile
16 review was to sort of look out as to where the
17 areas might be that might require some
18 investigation as to the -- whether or not there
19 are time periods beyond 1958 that might be of
20 concern. I guess I -- my -- in my reviewing
21 the document, the -- or our work, it seems to
22 me that this is an important -- namely the --
23 the point being that certainly NIOSH felt that
24 '55 to '58 was weak in terms of internal and
25 then something transitioned after that which

1 allowed you to feel more confident that you
2 could do internal dose reconstruction. It is
3 not self-evident from our review of the site
4 profile that that in fact is the case. So I
5 guess I'd like to point this out as if there is
6 one particular area that I think is especially
7 important, it's this one.

8 **DR. NETON:** I don't know what more we can do,
9 because some of these findings are fairly --
10 fairly broad and we're not responding to
11 specific issues here, so we -- we provided a
12 generic response to generic findings, so I
13 don't know what more we can do here other than
14 --

15 **DR. MAURO:** Yeah, I would just like to point
16 out that in going over the next series, you're
17 going to see the internal dosimetry section --

18 **DR. NETON:** It's going -- going to get more --

19 **DR. MAURO:** -- they're -- they're --

20 **DR. NETON:** -- specific.

21 **DR. MAURO:** -- they're all a recurring theme of
22 what about this isotope, what about that
23 isotope, what about this activity, where's the
24 coworker model -- other words it's all -- goes
25 to a fundamental issue in that time period,

1 post-'58, there -- there does seem to be some
2 question whether or not there's sufficient and
3 adequate data to do dose reconstructions or do
4 build a coworker model. It would have been
5 idea if there was a need for a coworker model,
6 and I suspect there is, that such a coworker
7 model would have been either provided as an
8 OTIB supplement to this document or be part of
9 the site profile itself. But right now it's my
10 understanding there is no coworker model, and
11 that's essential.

12 **DR. BEHLING:** John, can I interrupt you for --

13 **DR. MAURO:** Sure, please.

14 **DR. BEHLING:** -- a second in -- in trying to
15 answer your question again here, just as an
16 example. I'm not trying to be comprehensive
17 here, but in one of my comments I quoted
18 something from page 20 of the TBD and it states
19 in '67 the first chest counts, lung counts for
20 uranium using medical assistance were performed
21 at UCLA. The 186 keV gamma ray for the decay
22 of U-235 was used to quantify the amount of EU
23 in the lung and the calibration of this system
24 was crude. Those are comments taken directly
25 out of the TBD. Now again here is an issue.

1 How do we deal with chest counts which may have
2 been a very, very critical internal exposure
3 for people, especially if those -- if the form
4 of uranium was highly insoluble and we're using
5 a system that was never intended to be used for
6 the chest count and was only focusing on the
7 186 keV photon which, in the presence of
8 uranium that could have been enriched from
9 anywhere from two percent to 93 percent, leaves
10 a big open question mark as to how to interpret
11 that data.

12 **MS. HUGHES:** I do believe the UCLA chest count
13 only -- that was like the start-up of the whole
14 body count process and it later on went to
15 Helgeson, who did the more routine whole body
16 count, I think after maybe 1966/'67 starting.
17 But that's potentially one year you're talking
18 about this -- this issue.

19 **DR. BEHLING:** Well, not quite. I mean we're
20 talking about the beginning of chest counting
21 in '67 and so if you're saying okay, skip that
22 year, in '68 Helgeson took over, but what about
23 '58 through '68? That's a ten-year time frame.
24 If in fact exposures to uranium to various
25 degrees of enrichment may have occurred during

1 that ten-year period, we don't have any data.

2 **MS. HUGHES:** Well, there was bioassay for --
3 urine sampling for radiometric uranium as well
4 as fluorometric uranium. In many of the
5 claimant files these were actually done
6 concurrently from the same worker at the same
7 day, so...

8 **DR. BEHLING:** Yeah, that -- that's another
9 issue. In fact it's one of the other findings
10 that follows later --

11 **MS. HUGHES:** Right.

12 **DR. BEHLING:** -- is the potential need to
13 combine two -- two datapoints, fluorometric and
14 radiometric, in order to really assess the
15 issue because of the high variability of the
16 degree of uranium enrichment.

17 **MR. MORRIS:** If you look in Section 5.5 of the
18 site profile, that topic is uncertainty, and
19 that issue is addressed. It says due to the
20 calibration and other problems discussed above,
21 uncertainty in the early UCLA lung count
22 results for U-235 is estimated at plus or minus
23 200 percent at one sigma. I don't -- so I
24 don't think that it's without -- that -- that
25 it's -- it's not been addressed. I mean it --

1 it may not be an answer that is useful for
2 really a fine-tuning adjustment on a dose, but
3 in fact there is a number and a method to
4 correct it, so...

5 **MR. POTTER:** This is Gene Potter. I just might
6 mention that that UCLA count was a ad hoc thing
7 for the powder room incident, which was not
8 something that occurred at Area Four. It was
9 at one of the other facilities.

10 **MR. BERONJA:** Do we leave this as kind of a
11 broad finding right now and I assume that we're
12 going to pick up a lot of this stuff in the
13 later -- and this might be more of a general
14 kind of broader SEC issue that we leave for
15 right now and --

16 **DR. NETON:** Yeah, I agree this is an SEC issue
17 at this point --

18 **DR. MAURO:** I mean I think it affects multiple
19 issues, too.

20 **DR. NETON:** Again, this is double-dipping. I
21 mean this is a general issue and it's going to
22 have some specific ones underneath
23 (unintelligible). I don't know what more we
24 can do at this point.

25 **MR. BERONJA:** Yeah.

1 **DR. NETON:** We'll get down in the weeds here as
2 we drill down through these findings, I
3 suspect, about where the holes are -- where the
4 -- where holes are as perceived by SC&A.

5 **MR. BERONJA:** Anything else on that particular
6 one?

7 4.5-2

8 Hans, I think -- is this next one also -- I
9 believe this next one's also yours. Did you
10 want to elaborate on this -- on 4.5-2?

11 **DR. BEHLING:** Yes, there was a discussion about
12 the solubility class of a uranium compound that
13 is an alloy between uranium and aluminum, and
14 in fact a separate study of that particular
15 compound of uranium showed a very, very
16 insoluble form. And I guess the -- the
17 concern, based on everything else that we've
18 talked about where -- where you have a
19 potential for a class -- solubility class that
20 goes beyond the -- the -- the slow or -- or
21 class Y or the highly insoluble, this is a case
22 where I believe we need to look at this and
23 sort of say does this -- is this comparable to
24 the super S plutonium issue that was discussed
25 at other facilities. And based on what -- the

1 other information that was provided, it
2 certainly looks to be that -- that that's a
3 potential.

4 **DR. NETON:** Hans, I'm having trouble following
5 you here 'cause it's not tracking with the
6 finding that I'm looking at.

7 **MR. BERONJA:** Were you looking at issue 4.5-2,
8 Hans?

9 **DR. BEHLING:** Let me see, and I guess I've got
10 so many --

11 **MR. BERONJA:** Yeah, I apologize that -- this is
12 tough to do over the phone.

13 **DR. BEHLING:** Yeah, okay --

14 **DR. NETON:** I just think this --

15 **DR. BEHLING:** Okay, you're right, you're right.
16 I'm looking at something very differently.

17 **MR. BERONJA:** Yeah.

18 **DR. MAURO:** We'll get to that one, though.
19 That's an important one, the one you're
20 discussing.

21 **DR. BEHLING:** No, that doesn't seem to be mine,
22 Greg.

23 **MR. BERONJA:** Okay, this may -- this may have
24 very well been one of Dunstana's comments. So
25 maybe it's worthwhile, at least in this case --

1 I don't know if NIOSH just wants to provide a
2 general response, but the general comment, for
3 those on the phone, is this -- this is the
4 insufficient correlation between the bioassay
5 data and the potential exposures to specific
6 radionuclides.

7 **MS. HUGHES:** Okay, this elaborates on some --
8 some of the stuff we already discussed, that
9 internal monitoring was initiated in 1955 to
10 include workers who were handling
11 unencapsulated radioactive material, such as
12 workers in the fuel handling facility.
13 Additional discussion regarding the exposure
14 potential and correlation to the available
15 monitoring procedures will be incorporated into
16 the TBD. And in addition, additional activity
17 fraction information can be -- can be used by
18 using OTIB-54, which addresses reactor
19 facilities. This document was not available at
20 the time the TBD was published.
21 To address the second part of the finding,
22 there was an issue regarding detection limits
23 for 1975 to 1988 which are unavailable. These
24 are actually listed in Table 5.5 of the
25 document. Based on assumptions stated in

1 Section 5.3.1.4, if a value for a particular
2 nuclide is not included, it would be logical
3 for the dose reconstructor to assume that the
4 detection limits were equal to those in the
5 earlier period from 1967 to 1974, which are
6 listed in Table 5.4, since generally detection
7 capabilities stayed the same or improved with
8 time.

9 Regarding the solubility issue that was raised
10 in this finding, solubility is undetermined at
11 many sites and dose reconstructors typically
12 choose the solubility class that would be
13 favorable to claimant.

14 **MR. BERONJA:** Okay. I guess in the -- in this
15 particular one, you know, I think we'll just
16 take a -- take a look at this response and --
17 and in thi-- this also -- this issue kind of is
18 a little bit of a subset of the first one and
19 very well, depending on kind of the other
20 information that's provided, could be an SEC
21 issue, too. So even though it's not noted as
22 such here, I think we should probably put here
23 and John --

24 **DR. MAURO:** Yeah, I agree. I think what we
25 have here is that in -- in looking at the --

1 the bioassay program and -- as it's
2 characterized, there seem to be a lot of
3 radionuclides that might have been troublesome
4 for some workers that the bioassay program may
5 not have captured. I think that's the -- the
6 essence of it. And your response is that well,
7 we have the wherewithal to do that. For
8 example, if you have gross beta gamma, you
9 could go with OTIB-54 and I -- I'm familiar
10 with OTIB-54 of course. That has its own
11 constraints. It applies to specific classes of
12 reactors. The degree to which its
13 applicability to Santa Susana I guess we'd have
14 to look at, whether or not tho-- those
15 relationships -- the mix of radionuclides. And
16 so what I'm getting at is that I -- I think --
17 and regarding 4.5-2 is that this might have
18 been Dunstana's comment. Unfortunately --
19 Dunstana extends her apologies to everyone; she
20 was planning on being here but something
21 happened and she couldn't join us in this
22 conference call, but I -- but I -- but I
23 believe the point being that her review showed
24 that the bioassay program, as characterized,
25 could very well have missed certain

1 radionuclides. And this goes on to the next
2 comment where she makes reference to Uranium-
3 233, 234, so this -- the comment that we're
4 looking at here on 4.5-2 has many similarities
5 similar to 4.5-3. And I think that we -- I
6 guess the obligation on our part is now to look
7 at your response, and especially OTIB-54 as a -
8 - as a solution when you have gross beta gamma
9 measurements for -- and perhaps all the people
10 were monitored. You know, the people who
11 needed to be monitored had gross beta gamma,
12 and perhaps OTIB-54 is the solution, but we'd
13 have to look at that.

14 **MS. MUNN:** John, have we agreed that 4.5-2 is
15 to be considered an SEC issue?

16 **DR. MAURO:** I think the answer is yes, until
17 SC&A has a chance to -- to -- you know, to
18 check out the issues that have been raised here
19 as to the -- you know, the -- the response,
20 does in fact the response satisfy the concern.

21 **DR. NETON:** We'd agree with that.

22 **DR. MAURO:** Yeah.

23 **MR. BERONJA:** Yeah, I think a lot of these
24 things are -- are related. Is there anything
25 else on 4.5-2?

4.5-3; 4.5-4

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Otherwise John -- John's kind of already introduced to some extent 4.5-3, 4.5-4. We've already discussed a little bit of both of these, and maybe it's -- unless somebody has anything else on 4.5-3, which I think is a little bit of an outgrowth of 2, is maybe we talk about this coworker model and look at NIOSH's response of the --

DR. MAURO: Right.

MR. BERONJA: -- related to no worker -- no coworker model being developed.

MS. HUGHES: That's fine. Okay, am I -- am I on?

DR. NETON: Yeah.

MS. HUGHES: Okay. The internal coworker study has not been completed but it's currently under evaluation. Since this data is available based on the epidemiological study that has been done, data are available electronically and it's currently being assessed.

MR. BERONJA: This is that CEDR --

DR. NETON: Yes.

MR. BERONJA: -- database?

DR. NETON: May even do better than the CEDR

1 data, I don't know. We're working on
2 (unintelligible).

3 **MR. MORRIS:** The problem, as I understand it,
4 is that some of the information has been
5 depersonalized as it got passed from Boeing to
6 NIOSH, and some of that personalization of the
7 data is necessary to make a good coworker
8 study. So we're trying to evaluate what we've
9 got access to, what we might have access to,
10 and just exactly what we can do with it at this
11 point.

12 **DR. MAURO:** What we usually like to do, in a
13 general sense in terms of validating and
14 verifying that you -- the data are -- have
15 sufficient accuracy is once we get a sense of
16 the different types of activities that took
17 place and the isotopes of concern and the job
18 categories, we -- we -- what we've been doing -
19 - in fact, we almost have a procedure now that
20 we've been following on the other sites -- is
21 we -- we create a what I would call a str-- a
22 strata. In fact, maybe this is important to
23 point out to this workgroup. What we say is
24 well, for this site, it looks like that if we -
25 - if you -- you know, if you have a pau-- don't

1 have a complete dataset, or if you're trying to
2 judge whether you have a complete dataset or
3 whether you have enough data to build a
4 coworker model, step one is to say okay, what
5 are the strata of concern, and the strata
6 meaning the years -- we'd like -- sometimes
7 it's a group of years or it's individual years
8 where I say well, from this time period to this
9 time period, this is basically what's been
10 going on at the site, and it may turn -- be
11 different from year to year. And -- and these
12 were the isotopes that represented the
13 potentially important sources of exposure, and
14 these are the different job categories. So
15 it's almost like really -- time, activities and
16 job categories are -- are the three strata.
17 And then we say to ourselves well, for us --
18 for SC&A to convince itself that yeah, it looks
19 like you've got a handle on this so that you
20 can do the dose reconstruction, what we've been
21 doing is first presenting to the workgroup
22 these are the strata that we think are
23 important. And then once it's agreed that
24 yeah, those are the strata, then a sampling
25 program where we go in and say well, let's

1 sample 20 cases from each strata, pull those
2 cases and see what the data look like. And if
3 -- and usually at that point the data speaks to
4 everyone. That is, okay -- in fact, we're
5 about to do that with Nevada Test Site and we
6 will be doing that on Fernald. Basically we
7 put on the table -- okay, here's a dataset by
8 strata that -- that exists, and then it gets to
9 the point where around the table we discuss
10 whether or not it's -- it's of enough substance
11 that either -- that you could say well, I think
12 we could somehow con-- it's possible to
13 construct a coworker model with that dataset,
14 or -- or -- or there may be a problem. In the
15 past, for example, where we did run into
16 problems was with, for example, thorium. I
17 believe that was Mallinckrodt. We got to the
18 point where hmm, we've got lots of data but
19 we're not quite sure how we're going to
20 reconstruct the exposures to workers to thorium
21 -- I think I'm representing that fairly -- so
22 sometimes we find holes in the -- in the
23 datasets that will create difficulties in
24 reconstructing doses to certain classes of
25 workers or certain time periods. So I guess

1 what I'm getting at is we're really at the --
2 what I see right now is we're beginning --
3 we're at the beginning of that process with
4 regard to internal exposure. That is, the
5 question that I think you folks are answering
6 for yourself, and maybe have answered to your
7 satisfaction -- certainly SC&A has not looked
8 at -- is whether or not all these different
9 radionuclides and the bioassay program does --
10 is -- and -- and the -- and the tools such as
11 OTIB-54 collectively give you the resources,
12 information capability, to re-- to reconstruct
13 the doses to all categories of workers, or we
14 may find there are certain time periods,
15 certain activities, certain radionuclides that
16 are going to be especially troublesome. And
17 little by little we whittle it down and we get
18 to the point where we're talking about what I
19 would call a narrower group that may be the
20 problematic area. I -- I say all this only
21 because we've been through this many times
22 before and we're actually getting very good at
23 it in terms of -- as -- as a -- as a team where
24 there's a process we go through to narrow down
25 where the real issues lie. And right now I

1 think we're at the beginning of that process
2 with regard to internal emitters post-1958.

3 **MR. GIBSON:** Okay. Let's -- we've moved into
4 the lunch hour a little bit so this would
5 probably be a good time to go ahead and break
6 for lunch and we'll try to reconvene in an
7 hour.

8 **MR. KATZ:** Okay, so then we're reconvening at
9 quarter to --

10 **MR. GIBSON:** One.

11 **MR. KATZ:** -- one, yes -- quarter to 2:00.

12 Quarter to 2:00. Okay, so I'm going to
13 disconnect the phone and we'll set this back up
14 again close to quarter to 2:00.

15 (Whereupon, a recess was taken from 12:43 p.m.
16 to 1:44 p.m.)

17 **MR. KATZ:** This is the workgroup on Santa
18 Susana resuming its meeting. I'd just like to
19 check the Board members. Wanda, are you back
20 on?

21 **MS. MUNN:** Yes, I am.

22 **MR. KATZ:** And Mark, how about you?

23 (No responses)

24 Mark Griffon?

25 (No responses)

1 Okay, Mark maybe not right now. And I wonder
2 also, Bonnie, are you back with us?

3 **MS. KLEA:** Yes, who's this?

4 **MR. KATZ:** I'm sorry, this is Ted Katz. This
5 is the Designated Federal Official with the
6 workgroup.

7 **MS. KLEA:** Okay, Ted. I have a favor. I
8 mentioned Dan Hirsch earlier. He said he would
9 be on the line and he'd like to make a few
10 comments in regards to what we're -- what he
11 heard this morning, if you could let him do
12 that.

13 **MR. KATZ:** Yes, that -- he's welcome to. Dan,
14 are you on --

15 **MR. HIRSCH:** I'm here.

16 **MR. KATZ:** Sure.

17 **MS. KLEA:** You know, Dan's been a 30-- been
18 appointed to oversee the -- the workgroup on
19 the cleanup. He's been involved for 30 years
20 and he knows more than anyone.

21 **MR. HIRSCH:** Thank you, Bonnie --

22 **MS. KLEA:** Dan, wait until everyone gets
23 checked in.

24 **MR. HIRSCH:** Okay, very good.

25 **MS. KLEA:** Okay.

1 **MR. KATZ:** And Dan, it's okay, we're -- we're
2 ready. You're -- you're welcome to -- Dan
3 Hirsch, and can you spell your last name,
4 please?

5 **MR. HIRSCH:** H-i-r-s-c-h.

6 **MR. KATZ:** H-i-r-s-c-h. Okay, thank you.

7 **MR. HIRSCH:** Well, let me just explain for a
8 moment who I am and then make a couple of brief
9 comments. I co-chair the Santa Susana Field
10 Lab Advisory Panel, and have since the early
11 1990s. This is a panel that was established
12 via the State legislature and through the State
13 Department of Health Services, initially to
14 oversee studies -- epidemiological studies of
15 the workers at the Field Lab. We operated
16 under funding by the Department of Energy
17 initially and, when the worker study was
18 completed, then funding by the State
19 legislature to look at off-site effects as
20 well. My co-chair during much of this period
21 was David Michaels, who then left to become
22 Assistant Secretary of Energy and is probably,
23 more than anyone else, responsible for the
24 establishment of this worker compensation
25 program.

1 I also serve on the interagency workgroup that
2 oversees the cleanup. I teach nuclear policy
3 at the University of California Santa Cruz.
4 When I was teaching at UCLA in the late '70s it
5 was my students who uncovered the documents
6 regarding the partial meltdown of the sodium
7 reactor experiment, the SRE, at the site and
8 made those public, so I've been involved for
9 about 30 years.

10 I also worked with an organization called the
11 Committee to Bridge the Gap, which has been
12 involved in trying to get the epidemiological
13 studies done and then working on the cleanup.
14 So I know that I only heard a portion of your
15 deliberations, and so I may have gotten a
16 inadequate snapshot, but I was troubled by what
17 I heard and I wanted to just be candid about
18 that, in the hopes that that -- it may be
19 useful. I was struck by what seemed to me to
20 be a lack of understanding of the site, and
21 also occasional indications of what may be
22 perceived by the workers as bias.

23 I was surprised, for example, by the discussion
24 about the sodium burn pit. Statements were
25 made that only sodium was burned there, one

1 wouldn't expect fission products, one wouldn't
2 expect anything from the fuel. But anyone
3 who's followed the site knows that for decades
4 the DOE contractor -- originally Atomics
5 International, then Rocketdyne Division, which
6 was then with Rockwell and then now Boeing --
7 violated the regulations and the law for
8 decades and illegally burned radioactive and
9 chemical waste in that burn pit. Sodium-
10 contaminated reactor components were reacted in
11 those pits and these were reactor components
12 that had radioactivity and chemical
13 contamination, and the contamination was so
14 severe that the -- interim measures had to be
15 undertaken repeatedly to try to clean up some
16 of the contamination. The soil had to be
17 removed, a so-called cap put on temporarily to
18 -- because there continues to be contamination
19 and the fractures in the bedrock that underlay
20 that soil.

21 In the early to mid-1990s study done under EPA
22 jurisdiction by McLaren Hart* found that the
23 contamination not only existed at the burn pit,
24 but had migrated off-site to the neighboring
25 children's park, Brandeis Camp Institute --

1 strontium, cesium, plutonium and lots of
2 chemicals. And the wells beneath the site are
3 also contaminated.

4 This was an activity that was not supposed to
5 occur and it appears that perhaps you -- some
6 of your members are looking at what would have
7 occurred if the regulations were complied with,
8 but that would be a very faulty assumption for
9 this facility because the rules were frequently
10 violated.

11 I hope you all know that in the 1990s the
12 company was convicted of felony environmental
13 crimes for illegally disposing of hazardous
14 materials at the Santa Susana Field Lab after
15 an FBI raid that took away large volumes of
16 documents. And the company had initially
17 denied that they had done this, and then
18 eventually had to concede that they had and
19 pled guilty.

20 So if one is relying -- as it certainly seemed
21 to me, listening to your earlier discussion --
22 that there is a repetition -- uncritical
23 repetition of claims made by the company that
24 is responsible for the worker overexposures in
25 the first place, I think one would be making a

1 very fatal technical mistake. Here we have a
2 situation where a company has a great vested
3 interest in denying any past wrongdoing, and
4 yet there is a voluminous history of that
5 wrongdoing. And if one simply assumes that
6 things were done right when the record clearly
7 shows they weren't, you will not understand the
8 worker exposures.

9 Secondly, there was some discussion regarding
10 the -- the water pathway, the drinking water
11 pathway. And I'm sure you're aware -- I hope
12 you're aware -- that in fact the water that was
13 used on site was contaminated and had to be
14 discontinued. Now that was chemical
15 contamination they claim they initially
16 discovered, but for there to be any claim --
17 and they don't know how long people were
18 drinking that contaminated water before they
19 finally stopped using it. Now if you go in--
20 you know, into the bathrooms at the site they,
21 you know, remind you that this is contaminated
22 water and you should not be consuming it. But
23 that of course wasn't the case during the early
24 years in terms of any warning or restriction.
25 The argument was made that yes, but the

1 monitoring wells are showing, quote/unquote,
2 some contamination but hey, those are the
3 monitoring wells and not the production wells,
4 as though somehow that aquifer is nicely and
5 hermetically sealed, one apart from the other.
6 But the reality is that this is fractured
7 bedrock and the migration pathways throughout
8 are very poorly understood, but we know that
9 the contamination migrates substantial
10 distances. Something like a third or a quarter
11 of the entire Santa Susana Field Lab is
12 contaminated with TCE, and that contamination
13 extends off the property. So one assumes that
14 monitoring wells were only located where there
15 was a likelihood of an immediate release, which
16 is not the case, anyway; it's false. But even
17 if one somehow presumed that, that
18 misunderstands the nature of the migration of
19 the contamination throughout the entire
20 aquifer.

21 And there also were claims that this was a
22 different aquifer. Again there's a
23 misunderstanding here. There's one aquifer at
24 depth underlying virtually the entire facility,
25 then in some places there's also a curched*,

1 higher-level aquifer. Each of those statements
2 just seem to be designed -- I wouldn't say
3 designed, but seem to have the impact of saying
4 hey, we don't have a problem here; we can
5 ignore the water pathway.

6 As Bonnie pointed out, in addition, the
7 contaminated water from Area Four -- and I'll
8 give you an example. I was on the property a
9 few weeks ago in the basement of one of the
10 snap reactor buildings. There was water coming
11 up through the floor of the reactor vault, and
12 it was contaminated with all the radioactivity
13 that was in that vault, and I asked what they
14 had -- did with it. And they simply pumped
15 that contaminated water into this huge SSFL-
16 wide industrial process system, pumping the
17 contaminated water from all the various places
18 up to the tanks on top of the ridge, and those
19 were then used to quench the rocket test
20 (unintelligible) as Bonnie points out, and also
21 was used to irrigate vegetation throughout the
22 site. So you have a pathway whereby the
23 contaminated water ends up becoming airborne in
24 these massive plumes of steam from the rocket
25 test stands spread everywhere, so you have all

1 sorts of inhalation and resuspension potential.
2 Same thing with the irrigation. So I was very
3 troubled by the implication that one could
4 ignore the water pathway here.

5 An additional quick point, and I don't want to
6 take too much of your time so I'll be -- I'll
7 conclude in a moment, but the monitoring that
8 was done of the groundwater was purposely
9 skewed to try to remove any radioactivity
10 before monitoring. In 1989 there was a famous
11 memorandum by Atomics International/Rocketdyne
12 saying that our water monitoring is showing us
13 consistently way over MCLs for radioactivity --
14 gross alpha, gross beta -- and this is a
15 problem for us so we have proposed to start
16 filtering the water samples before measuring
17 them, and we think this could help drive the
18 measured values down. And indeed they started
19 that practice and it resulted in a ten-fold
20 reduction in the reported values, which they
21 were very happy about, and have continued that
22 practice to this day despite the US EPA roundly
23 criticizing them, saying that they should
24 measure what is on the filter as well as in the
25 water that is filtered and -- and sum them.

1 And so the values that one is looking at in
2 which one claims that you just have tritium,
3 obviously you can't filter out tritium, it's
4 HTO, so that is showing up. The other stuff is
5 getting filtered out. Even so, they're still
6 having numerous violations of the gross alpha
7 and gross beta MCLs and the State Health
8 Department pointed out that Boeing's claim that
9 that's due to natural radioactivity doesn't
10 seem to be well-founded because the elevated
11 gross alpha and beta is showing up in Area Four
12 and not showing up in Areas One, Two and Three,
13 and it would be remarkable if the natural
14 radioactivity just happened to be located in
15 the nuclear area and not in the other areas.
16 Last quick comment is I was very troubled by
17 the reference to the Boice study, and I am
18 puzzled why this enterprise would -- which has
19 connections with NIOSH and was supposed to be
20 reviewing in a neutral fashion the work that's
21 been done -- would not be referring instead, or
22 at least in addition to, the actual study that
23 was done with DOE funding under an advisory
24 committee established by the Department of
25 Health Services of the State, co-chaired by

1 someone who became the Assistant Secretary of
2 Energy for Environmental Safety and Health,
3 having on it a representative of NIOSH, a study
4 that was done by a very esteemed group at the
5 UCLA School of Public Health, the results of
6 which were published in the peer literature and
7 which was -- found marked increase in death
8 rates from certain key cancers associated with
9 dose, monitonically rising with dose. And the
10 Boice study of course was funded by and
11 controlled by Boeing, established after the
12 UCLA study and, frankly, designed to try to
13 make those positive findings go away.
14 So I'm worried for people like Bonnie and the
15 workers. They have been damaged once by our
16 government, and it's extraordinarily important
17 that the government not damage them again by a
18 process that relies uncritically on claims by
19 the entities that caused them the harm in the
20 first place. And I'm puzzled that with all the
21 work that my panel has done and that a number
22 of other studies and efforts have been done,
23 with vast amounts of records and data, have
24 simply been left out of the loop and it appears
25 that this review is relying largely on claims

1 by the company that have been, frankly, widely
2 discredited.

3 So thank you. I -- I hope that my views are
4 distorted by having caught you at a bad moment
5 this morning and that it's not representative
6 of your full deliberations, but what I did hear
7 was troubling to me.

8 **MS. KLEA:** Thank you, Dan.

9 **MR. KATZ:** Thank you, Dan.

10 **MS. MUNN:** Professor, this is Wanda Munn. I'm
11 a member of the Board. And in defense of the
12 other people who are on this call and who are
13 meeting in Cincinnati, I do have to point out
14 to you that both of the comments and both of
15 the discussions with which you were concerned
16 were initiated by comments or statements made
17 by me. And I'd like to reassure you that these
18 are very early days with respect to this
19 workgroup. We are just now going through this
20 material for the very first time. And the
21 questions that I posed and the statements that
22 I made were based solely on the documentation
23 which has been reviewed at this point by me
24 personally, not by other members of the group.
25 So please do not take the position that my

1 statements are representative of any of the
2 other people who are involved in this activity.
3 My statements were made based solely on the
4 material that I personally have reviewed so
5 far, and that in no way includes either of the
6 documents that you recently mentioned, nor does
7 it include all of the items that are available
8 to us. So in defense of my -- my other
9 colleagues and members of the Board and NIOSH
10 and SC&A, I would like to reassure you that
11 this -- these statements were mine and mine
12 alone, and are not reflective of anything other
13 than the documents that I have seen identified
14 this. We have not even yet visited the site,
15 which we hope to be able to do before too many
16 weeks go by. And there are certainly numerous
17 pieces of information, both from the workers
18 and that are currently on file that I have not
19 yet seen. So just wanted you to be aware of
20 that.

21 **MR. KATZ:** Does -- does anyone in here want to
22 say anything? I could point out a couple of
23 things --

24 **DR. NETON:** I just -- this is Jim Neton. I
25 just want to point out one misperception I

1 think that might have been generated during our
2 discussion of the Boice study. We in no way
3 intend to use any of the findings,
4 interpretations or conclusions that came out of
5 the Boice study. We merely expressed -- intend
6 to use it because it's a convenient source of
7 computerized bioassay data that's in existence
8 at the site, and we would certainly go about
9 and do our own in-- individual evaluation of
10 the doses using that data. So we're not
11 embracing anything about the results of the
12 Boice study, but just using the bioassay data
13 that -- that was collected.

14 **MR. HIRSCH:** Well, just to make a quick
15 response there, of course the Morgenstern Ritz
16 et al study also has a large body of data, and
17 it would appear to me that one -- if one really
18 is neutral -- would be trying to get the --

19 **DR. NETON:** Yeah.

20 **MR. HIRSCH:** -- data from that credible --

21 **DR. NETON:** This is the same data, we believe.

22 **MR. HIRSCH:** No, no, no, no.

23 **DR. NETON:** Urine samples that were collected
24 on the workers, and you -- you have a certain
25 set of data and that's what it is. You --

1 **MR. HIRSCH:** The analysis that was done by the
2 Morgenstern group raised very serious questions
3 about the bioassay data. And if you're not
4 reviewing and understanding what their concerns
5 were, you're missing I think an important piece
6 of the --

7 **DR. NETON:** And we don't take the bioassay data
8 at face value, either. We will review the data
9 itself against detection limits and what was
10 done. But it's really just the data we're
11 looking at and we would draw our own
12 conclusions from the data.

13 **MR. KATZ:** Dan, just -- just to point out a
14 couple of other things before I let the group
15 get back to it, just -- I -- we appreciate this
16 input. I just would want to point out to you
17 that the two issues, the burn pit and the
18 water, were decided by the workgroup to be
19 still live issues, so those -- neither of those
20 were -- were put aside as non-issues, just to
21 reassure you that -- that all of this
22 consideration is still going on at this time.

23 **MR. HIRSCH:** I understand. My concern is the
24 quality of the information that you're using to
25 make those determinations. I understand you've

1 not made the final --

2 **MR. KATZ:** Right.

3 **MR. HIRSCH:** -- (unintelligible) yet, but I was
4 puzzled -- it does sound like the information
5 you're getting is from people who have not been
6 to the site and who have only a very
7 preliminary understanding of the underlying
8 documentation. That's troubling for those of
9 us who have given a good many years of our
10 lives to understanding the site.

11 **MR. KATZ:** Thank you. I'd -- and just the last
12 point is we certainly encourage all relevant
13 information to be provided to NIOSH as we go
14 through this process, and it can be a fairly
15 extensive process and this is the normal way it
16 goes. Information, new interpretations, et
17 cetera, you know, are brought forward to NIOSH
18 and the Board to consider as they go through
19 this evaluation work. Thank you.

20 **MS. KLEA:** This is Bonnie. Could I bring up a
21 point?

22 **MR. KATZ:** Yes, Bonnie.

23 **MS. KLEA:** Okay. Is Phil Rutherford still on
24 the line from the Boeing Company?

25 (No responses)

1 No, maybe not. I would suggest that the
2 Department of Labor or NIOSH either ask for or
3 subpoena all the new data that has been
4 released as a result of the federal lawsuit.
5 I'm in no position to read everything and
6 forward it, and I don't have the computer
7 capability to even bring it into my computer.

8 **MR. KATZ:** Okay. Thank you, Bonnie.

9 **MS. KLEA:** Okay.

10 4.5-5

11 **MR. GIBSON:** Okay, we'll get back to the matrix
12 here since we've got a little bit of limited
13 time this afternoon, folks catching flights and
14 stuff. I believe we left off on issue 4.5.5?

15 **MR. BERONJA:** That's right. And actually I
16 think it's going to be true for at least the
17 next three comments that we have are -- I think
18 these are all issues that one of our
19 specialists on the internal dosimetry side has
20 -- has come up with in our specific comments.
21 The first one deals with the -- when the
22 bioassays were taken and kind of the -- a 48-
23 hour delay in kind of the measurements, and the
24 fact that they were viewed as chronic. And so
25 that's the first thing. I think NIOSH has

1 provided us with a response of -- I don't know
2 if you want to go -- go over that.

3 **MS. HUGHES:** Sure. The response is that it --
4 it -- the chronic intake is the default
5 assumption for assessing intakes, and this
6 assumption is applied by the dose reconstructor
7 even if it's not explicitly required in the
8 TBD. There is a Technical Information Bulletin
9 that addresses correction factors to be applied
10 in the event that there was a 48-hour delay
11 between the end of intake and the collection of
12 urine samples, so a correction can be made as
13 necessary. And this document is in a draft
14 state at the moment.

15 **MR. BERONJA:** And so then I take it of course
16 that a site profile that would have been done
17 when this one was done wouldn't use these
18 correction factors that are now being developed
19 or documented? Is --

20 **MR. MORRIS:** You meant a dose reconstruction.

21 **MR. BERONJA:** Pardon? I'm sorry?

22 **MR. MORRIS:** Did you mean to say dose
23 reconstruction that was done?

24 **MR. BERONJA:** Oh, no, no, I mean the -- the --
25 when the site profile -- when this site profile

1 was prepared, I'm assuming that this OTIB that
2 you're talking about right now and the
3 associated correction factors, those correction
4 factors would not have been applied actually to
5 -- or -- or at least noted in the site profile.
6 Is that right? I'm assuming -- I'm assuming
7 this was done post-site profile.

8 **MS. HUGHES:** I'm not exactly sure what the
9 status on this document is. Can --

10 **MR. MORRIS:** It's in draft right now.

11 **MS. HUGHES:** It's in draft.

12 **DR. MAURO:** This is an issue that has come up
13 before and I'm glad to see that, you know,
14 'cause I know our folks, Joy-- Joyce and
15 Dunstana both, looked very closely at this --
16 you know, collecting the urine samples on
17 Monday and had a two-day -- we've done a number
18 of example calculations. Sounds like you have
19 an OTIB coming out that will adjust for that
20 and so it's -- the way we see it is that this --
21 -- this is not -- certainly not an SEC issue --

22 **MR. BERONJA:** No, I --

23 **DR. MAURO:** -- it's just a matter of the
24 correction factors. And I guess the day'll
25 come when an OTIB comes out and whether or not

1 the -- the working group or procedure working
2 group would like us to look at it.

3 **DR. NETON:** I guess I haven't quite -- do we
4 know that these were Monday samples, or is this
5 just sort of --

6 **MR. MORRIS:** It's a general practice.

7 **MR. BERONJA:** Yeah.

8 **DR. NETON:** It's a general practice at the site
9 for Monday sampling? Of course that only makes
10 a real difference for extremely soluble
11 material -- we've been through this path before
12 -- extremely soluble material which has lower
13 dosimetric implications and --

14 **DR. MAURO:** Yep.

15 **DR. NETON:** -- yeah, so...

16 **DR. MAURO:** But I -- the -- I think the -- this
17 sounds like this issue is well in hand and is
18 not an SEC issue. And the degree to which,
19 when that OTIB comes out, whether or not it's
20 the working group here or the procedures
21 working group, you'd like us to look at it. Is
22 this going to be a generic OTIB for all sites
23 or just for this site?

24 **MR. MORRIS:** I think across the sites.

25 **DR. MAURO:** Across the site, so this will be

1 something that the procedures workgroup might
2 want to take on.

3 **MR. ELLIOTT:** Has it got a number yet?

4 **MR. MORRIS:** I don't know it, Larry.

5 **MR. ELLIOTT:** Thank you.

6 **DR. BEHLING:** Can I make a comment here on that
7 very issue, because John -- as John has just
8 mentioned -- this is Hans -- this has occurred
9 before and I'm specifically looking back in
10 time with regard to the Fernald facility where
11 we did have obviously a whole series of
12 bioassay, some that -- on the basis of past
13 documents -- were told -- were done at the end
14 of a -- of a shift, at the end of a -- the
15 week, and then of course the two-day hiatus.
16 And of course we are dealing with different
17 types of uranium tha-- that went from highly
18 soluble to insoluble, and the question I have
19 with regard to this new OTIB that is being
20 developed, will that also turn into a PER,
21 which is -- in my estimation, it should.

22 **DR. NETON:** If the conclusion of the OTIB is
23 that we need to go back and redo some of these
24 calculations, yeah, it would. But I don't know
25 if that's the case just yet. But you're right,

1 it would become a PER if (unintelligible) --

2 **MR. ELLIOTT:** Any time we make a change that
3 increases the dose estimate -- potentially
4 increases the dose estimate, we would enact a
5 PER, yes, Hans.

6 **MS. MUNN:** This is Wanda. Do we have a feel
7 for when that OTIB is likely to be on the
8 table?

9 **MR. ELLIOTT:** I don't -- we don't.

10 **MS. MUNN:** Okay, thank you.

11 **MR. ELLIOTT:** We don't.

12 **DR. NETON:** We can look into that and -- and
13 get back to the working group the -- the status
14 of that.

15 **MS. MUNN:** Well, you can understand that makes
16 me nervous. Just want to know when it's coming
17 down the pike for procedures.

18 **DR. NETON:** I understand.

19 **MR. ELLIOTT:** That's why I asked for the
20 number. At least we could use that to help
21 track the current status of the document.

22 **MS. MUNN:** Right.

23 **MR. ELLIOTT:** But we'll figure this out, Wanda,
24 and get back to the working group.

25 **MS. MUNN:** Thank you.

1 **MS. BRACKETT:** This is Liz Brackett with the
2 ORAU team. The number of that OTIB is 68.

3 **MR. ELLIOTT:** Thanks, Liz, and do you know
4 where -- what its current status -- is it in
5 review or is it in development?

6 **MS. BRACKETT:** It's with OCAS, actually.

7 **MR. ELLIOTT:** Aha, there we go, there's the
8 bottleneck. We'll look into where 68's at.

9 **MR. BERONJA:** Maybe -- like John has said,
10 maybe this is well in hand. I don't know from
11 a procedures perspective to what extent that
12 these things get -- you know, training is done
13 and people become aware of these OTIBs and
14 everything else so that even a site profile
15 might say one thing if there's -- you know,
16 these things are supplemented and -- how does
17 that --

18 **DR. NETON:** I think this should --

19 **MR. BERONJA:** -- how does that work?

20 **DR. NETON:** -- I think this should remain on
21 the list as a site profile issue --

22 **MR. BERONJA:** Right.

23 **DR. NETON:** -- and follow it through to its
24 conclusion, and it may be one of these issues
25 that's transferred to the procedures working

1 group to evaluate for -- for finalization. I -
2 - I agree with John, though, it's not an SEC
3 issue. It's a matter of how -- it's the
4 relative magnitude of the dose versus, you
5 know, can we -- can we put a number on the
6 dose.

7 **MR. BERONJA:** Anything else on that one?

8 (No responses)

9 4.5-6

10 If not, we'll move on to -6 here, which talks
11 about inconsistencies between MDA values
12 described in the text and the ones reported in
13 Table 5.4 of TBD five. And again I apologize,
14 I was hoping Dunstana would be available for
15 the call so I didn't note when some of these
16 findings were done. Some of these internal
17 findings were done by Dunstana versus Hans.
18 Hans, had you -- I -- I think that -- my memory
19 -- I don't know who did this. My memory
20 doesn't serve me well on this one. Is this --
21 is this one of yours or is this one of
22 Dunstana's, do you know?

23 **DR. BEHLING:** It's a combination, Greg. I
24 think in -- in my original finding that I
25 submitted to you it was listed as 5-3, and --

1 and what I did there was I looked at some of
2 the TBD values in Table 5-3 and others, and --
3 and I had similar comments. But I think the
4 way you wrote it up in the specific document
5 that -- where it's finding 4.5-6, it turns out
6 to be a hybrid between my comments and
7 Dunstana's comments.

8 **MR. BERONJA:** Uh-huh. And this might be more -
9 - my understanding -- this might just be kind
10 of more of an administrative thing between --
11 getting things consistent between the text and
12 the table?

13 **DR. BEHLING:** Yes.

14 **MR. BERONJA:** Maybe -- NIOSH I guess is
15 (unintelligible).

16 **DR. NETON:** I think you -- our response
17 basically says we're committed to going back
18 and cleaning that up.

19 **MR. BERONJA:** Okay.

20 **DR. NETON:** We don't dispute the finding.

21 4.5-7

22 **MR. BERONJA:** Okay. Unless there's anything
23 else on that one, we'll keep moving on. I
24 think we've got -- clarification of the MDA
25 related to testing methodology. And I think,

1 Hans, you -- I think this may -- I think it may
2 have again been made by both you and Dunstana.
3 Do you want to --

4 **DR. BEHLING:** Yeah. Yeah, I can briefly talk
5 about it. I think in my write-up, and I'm
6 trying to see how closely your write-up matched
7 what I had, but when -- when I look at, for
8 instance, some of the data that were reported,
9 they -- they acknowledge the fact some of the
10 reported values are erroneously -- or they're
11 identified as typographical errors. And -- and
12 I had a fairly lengthy write-up in -- in my
13 section finding 5-4, and I'm trying to see how
14 closely you may have paralleled that in your
15 write-up. I'm trying to get a feel for it, but
16 -- oh, I -- no, I -- I think -- I think what I
17 ended up -- that -- my write-up turned out to
18 be 4.5-12, so --

19 **MR. BERONJA:** Right, right, yes.

20 **DR. BEHLING:** -- on the (unintelligible)
21 coming.

22 **MR. BERONJA:** That's right, yeah, yeah, I
23 thought you were talking about a different one.
24 And maybe for the time being it's better for us
25 just to go to the NIOSH response, then we can

1 look at that compared to what's been stated
2 here.

3 **DR. NETON:** I'm looking at the response and I'm
4 not sure -- I -- I think the -- the better
5 explanation here is that if -- if we want to
6 put the MDA for enriched uranium using a
7 fluorometric method, so be it -- I mean that's
8 -- that's a simple thing to do -- in case that
9 the -- that was the only method available. I
10 don't know why we sort of elaborated here now,
11 I'm confused.

12 **DR. MAURO:** Let me ask a question. So if -- if
13 you have a situation where you have a worker
14 where let's say all you have is fluorometric
15 analysis, and there's some question re--
16 regarding whether he was working with
17 unenriched or highly enriched uranium, what do
18 you do?

19 **DR. NETON:** Yeah, well, our response here --
20 basically I -- it says that we would -- we
21 would have selected the right method, given the
22 enrichment. If that didn't happen, though, you
23 could rely on, as suggested in your finding, on
24 using the fluorometric technique and assuming
25 what the detection limits for enriched uranium

1 would be based on the -- a mass analysis, which
2 would give you a huge MDA, I mean it would be
3 massive, because --

4 **DR. MAURO:** Would you rely on process
5 knowledge?

6 **DR. NETON:** Yeah, I think you'd have to go back
7 and rely on process knowledge and figure out
8 what the potential exposure scenario may have
9 been, because I -- if you start doing very
10 highly enriched uranium based on mass, you're
11 going to end up with some pretty high numbers,
12 so...

13 **DR. MAURO:** I -- I answered the question that
14 way 'cause I'm not sure whether this would be -
15 - if there's some ambiguity regarding how you
16 would process such a case, and then -- you
17 know, and -- I mean I'm asking myself do I see
18 this as a -- an SEC issue. Certainly what you
19 just described, yeah, you could bound it, but
20 it would be a bounding technique that would be
21 perhaps inappropriate, to the extreme that
22 where you would go to if you assume it's 93
23 percent enriched. I'm just not sure, you know,
24 how you would deal with this issue. If there
25 is a tractable way to deal with this issue,

1 then it's not an SEC issue. But right now if
2 you're not really clear on that, you know, it's
3 hard to let it go.

4 **DR. NETON:** I mean I see in our response as
5 well we talk about lung counts being available
6 in this time frame when they were doing
7 (unintelligible). You know, it would be a sort
8 of flow path type of analysis where you look at
9 the process, you look at any available lung
10 counting data, you look at (unintelligible)
11 analysis that was done, procedures that were in
12 place -- or the analysis, depending on the type
13 of work a person was performing, there are a
14 number of ways one would go. In my opinion
15 it'd be unlikely you'd end up at the point
16 where you'd have to say --

17 **DR. MAURO:** (Unintelligible)

18 **DR. NETON:** -- they took a fluorometric sample
19 on a 93 percent enriched uranium
20 (unintelligible) -- it just doesn't seem likely
21 (unintelligible). But outside of that, I don't
22 know where else we'd go.

23 **MR. BERONJA:** So with this particular one -- I
24 mean are you comfortable with the response
25 here, do -- do you --

1 **DR. NETON:** (Unintelligible)

2 **MR. BERONJA:** I mean if you're comfortable with
3 the response, I guess what I would propose is
4 that we just take this back --

5 **DR. MAURO:** Bring it back --

6 **MR. BERONJA:** -- bring it back.

7 **DR. NETON:** Yeah, I'm uncomfortable with what
8 we have here, and you know, maybe this is going
9 to be one of those prove a negative issues,
10 like you know, how can we prove that someone
11 who was exposed to enriched uranium didn't get
12 the right analysis. I mean -- I don't know, I
13 almost have to have some evidence that it --
14 that there was a -- a distinct possibility that
15 people working with enriched uranium had
16 fluorometric analyses, which --

17 **MR. BERONJA:** This might be something that --

18 **DR. NETON:** -- seem unlikely to me.

19 **DR. MAURO:** By the way -- I mean if tha-- if --
20 in effect, you're saying that on a case by case
21 basis there's a dataset available for that
22 worker that would allow you to navigate your
23 way through this problem, and that would be the
24 kind of thing we would do during the data
25 evaluation --

1 **DR. NETON:** Right.

2 **DR. MAURO:** -- process, if there turns out
3 there really is not -- that is, that there are
4 workers --

5 **DR. NETON:** Right.

6 **DR. MAURO:** -- I mean in effect --

7 **DR. NETON:** Sure.

8 **DR. MAURO:** -- if you looking at a dataset, you
9 say okay, do we have a -- any workers out there
10 who have fluorometric analysis done, that was
11 the way in which they monitored the urine, but
12 we know they worked with highly enriched
13 uranium. Then you're in a -- you sa-- a
14 situation that you don't want to be in. Now we
15 -- we may find out that that situation never
16 arises. That is, whenever a person is working
17 with enriched -- highly enriched uranium, you
18 don't just do fluorometric analysis, you do al-
19 - gross alpha count and -- and then it becomes
20 a tractable problem. So maybe the an-- the
21 solution is when we get into that stage we
22 could verify that we do have a way to navigate
23 your way through problems like this.

24 **DR. NETON:** Well --

25 **DR. BEHLING:** Can -- can I make a comment here?

1 And I guess in my write-up, which didn't find
2 its way into the final write-up that was
3 submitted to NIOSH -- but in the early years,
4 if I can again transpose my concern here to
5 Fernald, was the concern in the early years
6 regard to uranium more of a chemical toxicity
7 issue or a radiochemical issue? And -- and
8 that would certainly have -- if -- I would not
9 have any problem if the bioassays were confined
10 to gross alpha because that would certainly
11 obviate the need to concern yourself with the
12 degree of enrichment. The issue of how much
13 enrichment was involved is really limited to
14 those instances where the bioassay is confined
15 to fluorometric methods. And -- and in the
16 early years perhaps the issue of concern was
17 mostly driven by chemical toxicity, which would
18 potentially leave the door wide open in
19 assuming that radiochemical analysis was not
20 done. And I guess unless we do an analysis of
21 people's bioassays, we will not have the answer
22 to that question.

23 **DR. NETON:** I guess I'm confused by what you're
24 saying, Hans. I mean if -- chemical toxicity
25 was a concern for natural uranium, and what

1 you're suggesting, though, is that they would
2 have used -- they would have been concerned
3 about chemical toxicity for enriched uranium
4 and therefore --

5 **DR. BEHLING:** Chemical toxicity for uranium,
6 regardless of enrichment.

7 **DR. NETON:** Oh.

8 **DR. BEHLING:** If you don't have any
9 radiochemical analysis, you don't really know
10 what to do with micrograms per -- per unit
11 volume of urine.

12 **DR. NETON:** And it doesn't matter what the
13 enrichment is. It's a chem-- chemical toxicity
14 is driven by mass of uranium. Right?

15 **DR. BEHLING:** I know that. I'm -- that's
16 exactly the point. If in fact you're con--
17 you're concerned mostly about chemical
18 toxicity, which would mean you would assess the
19 urine by way of fluorometric method, but then
20 ignore the need to go one step further and say
21 well, what does that translate to in terms of
22 radiological impact.

23 **DR. NETON:** Right, but I think you're
24 speculating that they were totally driven by
25 chemical toxicity. We have to have some

1 evidence that that was the case, and I think --
2 you know, we need -- someone need -- we need to
3 go back and look and see what their procedures
4 were during that time frame and what the
5 potentials for exposures were. It may --

6 **DR. BEHLING:** And -- and the way to do this is
7 to actually sample the -- the bioassay data and
8 saying do we have paired analysis. In other
9 words, if a worker was assessed for uranium by
10 fluorometric method, was there a concurrent
11 assessment for -- for gross alpha and -- and to
12 what extent, for instance, could we match
13 dates. I guess the question I would have is
14 when, for instance, a urinalysis was done by
15 fluorometric method that has a one -- a
16 particular date, to what extent does that date
17 match, for instance, a radiochemical analysis
18 because it may have been done by a different
19 laboratory and may have a very different time
20 assignment to it --

21 **DR. NETON:** Right.

22 **DR. BEHLING:** -- in terms of when that was
23 performed.

24 **DR. NETON:** Yeah, I would suggest it would be
25 redundant to do both. I mean if you're going

1 to go the way of chemical analysis, there's no
2 need for fluorometric analysis. But I think
3 John has suggested that's exactly what you guys
4 might do. I think we have a path forward here.

5 **DR. MAURO:** But it is -- but it is a potential
6 SEC issue --

7 **MR. BERONJA:** Right.

8 **DR. MAURO:** -- if the path forward isn't there
9 and if the -- if you can't navigate your way
10 through the problem, I -- I mean I -- it
11 doesn't sound like you were decided.

12 **DR. NETON:** We can leave it on there for now.

13 **DR. MAURO:** Can leave it on there.

14 **DR. NETON:** Yeah, it might drop off, but --
15 yeah.

16 **MR. BERONJA:** Okay. Nothing else on that one?

17 4.5-8; 4.5-9

18 We'll move to 5-4 -- .5-8, and again an
19 inconsistent presentation of dates of
20 operation. I think this is straightforward.
21 NIOSH has said they're going to revise
22 accordingly, so unless there's any further
23 discussion on that, we'll move on.
24 And then I think 4.5-9 is essentially kind of a
25 repeat of something, you know, we pointed out

1 earlier on this neptunium and depleted uranium
2 not being included in Table 5.9, so again I
3 guess as we get to this point, this could be an
4 SEC issue even though it's not noted as one.
5 And I don't know if you guys want to go over
6 the NIOSH response. Might not be...

7 **MS. HUGHES:** The issue was that there was a --
8 evidence of a small amount, four grams, of
9 neptunium being stored in the building for a
10 test that was planned. But indications from
11 available documentation were that this amount
12 was actually transferred to a different
13 research facility. We believe that this small
14 quantity that was not used did not necessitate
15 a bioassay program for uranium.

16 **MR. BERONJA:** Or for neptunium?

17 **MS. HUGHES:** I'm sorry, neptunium, yes.

18 **MR. BERONJA:** Uh-huh. I guess as long as
19 that's the case --

20 **DR. MAURO:** The -- no, that's a statement of
21 fact, if that's the --

22 **DR. NETON:** Well, I mean you guys can certainly
23 review that --

24 **MR. BERONJA:** Yeah, we can confirm that, yeah,
25 yeah.

1 **DR. NETON:** -- (unintelligible) and see if you
2 concur with that.

3 **DR. MAURO:** No, you ge-- I mean if that's in
4 fact what transpired -- yes.

5 **MR. BERONJA:** We'll have that as an action item
6 for ourselves to con-- confirm that. Anything
7 else on that one?

8 4.5-10

9 We'll keep moving forward -- 4.5-10,
10 inappropriate solubility type for lung cancer -
11 -

12 **MR. GRIFFON:** Can we go back to that last one
13 just for a second? You addressed the
14 neptunium, but what about depleted uranium?
15 Wasn't that the other...

16 **MR. BERONJA:** Yeah. I think the last comment
17 was that bioassay for uranium was well
18 established early in the site's history.

19 **MR. GRIFFON:** Oh, okay, I didn't
20 (unintelligible). Thanks.

21 **DR. MAURO:** And I think that that would be part
22 of this data validation process, we'd capture
23 under that umbrella and confirm that -- that
24 statement.

25 **MR. BERONJA:** 4.5-10 has to deal primarily with

1 the use of type S for lung cancer, and I don't
2 know the context in which this was provided,
3 but I think there's probably a general
4 statement to use type S and there was probably
5 no distinction made for a lung cancer.

6 **DR. NETON:** Yeah, this is the uranium aluminide
7 issue and this is something we're aware of and
8 -- I didn't look at the response, but -- I
9 don't -- I forget what we said here. Okay,
10 yeah, this is TBD -- TIB-71. We -- we
11 developed a TIB to cover this uranium
12 aluminide, much in the -- in the spirit of what
13 we did, maybe not as extensively but in the
14 same manner as we looked at for super S in TIB-
15 49.

16 **DR. MAURO:** Would -- would this be --

17 **DR. NETON:** It's a unique exposure scenario in
18 -- in the complex.

19 **DR. MAURO:** Is this unique to this facility,
20 this special form?

21 **DR. NETON:** Maybe not. That's -- that's one
22 re-- that's one thing we're looking at right
23 now to make sure, in the spirit of -- of Phil's
24 comment a long time ago, I think, was that we
25 need to make sure this is -- is viewed at other

1 -- potential possibility at other sites, and
2 we're looking at that right now.

3 **DR. MAURO:** Wanda, this sounds like something
4 similar to that OTIB-68 we talked about
5 previously, another -- another -- another OTIB
6 that might --

7 **MS. MUNN:** I have that same feeling.

8 **MR. SCHOFIELD:** You love it, Wanda.

9 **MR. BERONJA:** Okay, so looks like that's -- we
10 know the path forward there. Unless there's
11 anything else, we'll keep moving forward.

12 **4.5-11**

13 4.5-11 talks about elements presented in TBD
14 two are not addressed in TBD five, and I think
15 kind of the quick answer to this is it looks
16 like NIOSH is going to review that and address
17 any inconsistencies or when things are not
18 reported.

19 **DR. NETON:** Elements presented in -- this is a
20 finding on a finding here. I'm going to object
21 to these kinds of findings (unintelligible) add
22 to the numbers.

23 **MR. BERONJA:** So...

24 **DR. NETON:** I would go back to what John had
25 earlier suggested. I -- I would --

1 **DR. MAURO:** Collapse.

2 **DR. NETON:** -- appreciate it if SC&A would go
3 back and collapse some of these into a more
4 workable form where we're not sort of repeating
5 things and they're consolidated in areas where
6 they make sense.

7 **DR. MAURO:** Along these lines -- you know, this
8 is our first matrix and your first response.

9 **DR. NETON:** Yeah, I understand. I was trying
10 to be funny.

11 **DR. MAURO:** Yeah. No, we'll -- I think that
12 the next iss-- next iteration will be a revised
13 matrix that will try to collapse, consolidate,
14 incorporate what we're discussing around the
15 table and we're going to try it again.

16 **DR. NETON:** That's fair.

17 **MR. BERONJA:** Okay, so I think there's a
18 reasonable path forward on this one, too, so
19 unless there's anything else, I'll keep moving
20 forward.

21 **4.5-12**

22 And I think we finally get to your -- your 4.5-
23 12, Hans, which you started to address before
24 on the different laboratories. I don't know if
25 you're on mute, Hans, or --

1 **DR. BEHLING:** Yeah. No, I -- I just unclicked
2 my mute here. Yeah, this -- this goes to the
3 issue of interpretation, which may or may not
4 be claimant favorable. Repeatedly in the TBD
5 there is reference to the statement that is --
6 and I read, It is assumed that this is a
7 typographical error and 2.0 cpm is really 2.0
8 dpm per ml, for instance, as a MDA value. And
9 -- and I'm not really sure that necessary has
10 to be the case, and would certainly raise a
11 serious question in my mind. If -- if it is a
12 typographical error that was repeatedly done,
13 how much stock can I put into a -- an
14 analytical laboratory. And if it wasn't an
15 error, then clearly the conversion of cpm to
16 dpm would certainly be claimant unfavorable.
17 At least the assumption is that they intended
18 to declare this as a disintegration per minute
19 as opposed to a count per minute. Obviously as
20 a minimum there's likely to be a factor of two
21 difference based on -- on counting efficiency,
22 so I raise that as an issue.

23 **MS. HUGHES:** Okay, this was actually -- NIOSH
24 response included that this was actually taken
25 out of a brochure by this bioassay contractor,

1 and it turns out that there was another column
2 that was missed, since this brochure consisted
3 of a scanned document I believe, and a draft
4 revision has already been prepared that should
5 correct this. And the response does include
6 the -- the revised findings. However, it -- it
7 should be pointed out that actually this
8 bioassay contractor only provided a quote and a
9 brochure to the site. We have not seen any
10 indication that they actually were used for
11 bioass-- to provide bioassay analysis to the
12 site, so this issue might go away. There --
13 there's documentation that they were definitely
14 in communication with the site, but from the
15 bioassay data that is available we have not
16 seen that they were actually providing worker
17 samples -- or analysis of worker samples.

18 **MR. BERONJA:** Hans, anything further you want
19 to say?

20 **DR. BEHLING:** Yeah, and -- and I guess I'm not
21 sure, I'm just trying to refresh my own memory.
22 Was the issue of comparing data presented in
23 behalf of Shepherd 1959 and the NSEC values
24 that certainly are -- they're orders of
25 magnitude apart when you have, in the case of

1 gross alpha, 7.5 dpm per liter that is -- is --
2 converts to 200 cpm per liter under the NSEC
3 value. And -- and if that were to be actually
4 converted to dpm, it might turn out to be 400.
5 And I guess those two values are very difficult
6 to reconcile, those two sets. And I'm not sure
7 you -- that was included -- yeah, yeah, it was
8 included in your write-up, so if you look at
9 4.5-12 at the very bottom, you see a table here
10 that compares the two sets of data. And quite
11 frankly, they are at least a couple of orders
12 of magnitude apart potentially.

13 **MR. MORRIS:** Well -- this is Bob Morris. I
14 actually scanned those documents at -- at a
15 copy machine, and I remember reading them.
16 They -- it was not clear to me that they were
17 actually contracts. They were proposals for --
18 in request to a response for quotations, and so
19 I -- I don't know that anybody ever actually
20 issued a contract to that laboratory.

21 **DR. MAURO:** I think it might be important to
22 confirm that because let's say you do have
23 records where the data are reported for a
24 particular bioassay in the incorrect units --

25 **MR. MORRIS:** Well, what would be -- the only

1 way you can confirm that is to look at the data
2 when it comes available to look at. And I
3 don't know that we could actually sample 1,000
4 cases and find the one that this laboratory
5 provided.

6 **DR. MAURO:** No, no, may-- is there -- are the
7 records such that you would know for datasets
8 for workers which laboratories at what time for
9 what facility --

10 (Whereupon, multiple participants spoke
11 simultaneously.)

12 **MR. MORRIS:** I never remember seeing any data
13 that way.

14 **DR. MAURO:** It -- it would -- well, I guess
15 this is -- it would be, to put this to bed,
16 that in fact this laboratory did not do the
17 analysis and did not do -- and they're not
18 reported incorrectly. Ideally you could
19 actually go to -- see if the contract was -- it
20 wasn't a contract (unintelligible) --

21 **MR. MORRIS:** Well, we -- we got all of the
22 documents contemporary with -- it was three
23 proposals in 1959, as I -- as I recall. I mean
24 this is going back a couple of years for me and
25 I was just reading as I scanned them, but there

1 were proposals in response to a request for
2 proposals.

3 **DR. MAURO:** Okay.

4 **MR. MORRIS:** And I don't -- and that was
5 everything that was in that file folder.

6 **DR. MAURO:** And then there's no information
7 whether you actually executed a -- well, you
8 didn't exe-- I shouldn't say you -- whether the
9 Santa Susana folks actually executed a contract
10 (unintelligible) --

11 **MR. MORRIS:** No, I think the only way you're
12 going to know that is to look at the data as
13 it's -- they're represented on the individual's
14 bioassay card to know.

15 **DR. MAURO:** But -- no, but see, on his bioassay
16 card would be a number that -- where you have
17 to take at face value as being the number that
18 was reported, but it may be an incorrect
19 number. Other words, if they made that error.
20 Do you see what I'm saying? So how do we know
21 -- unless it would be so --

22 **MR. MORRIS:** Well, the error was when we wrote
23 the Technical Basis Document we missed one of
24 the columns of data on page two of the scanned
25 sheet. We looked at page one, and should have

1 looked at page two, also.

2 **DR. MAURO:** Oh, I misunderstood, I thought --

3 **MR. MORRIS:** (Unintelligible) hidden in the
4 review (unintelligible).

5 **DR. MAURO:** Okay, I'm sorry. I misunderstood.
6 I thought that this laboratory proposed to
7 follow a certain protocol, report their
8 information in a certain way, and they may have
9 been making a systematic error.

10 **MR. MORRIS:** No, I don't think that's -- if
11 you've got that impression, I don't think
12 that's what you should have.

13 **DR. MAURO:** Oh, okay, I misunderstood. Okay.
14 It's just a matter of transf-- transposing
15 information --

16 **MR. MORRIS:** Yeah.

17 **DR. MAURO:** -- from their proposal into the
18 site profile.

19 **MR. MORRIS:** I think that's more correctly
20 stated, yeah.

21 **MS. BEACH:** Is it correct to me, though,
22 reading this last statement of your response,
23 it should also be noted that neither of these
24 companies probably provided very many bioassays
25 to the site -- to me, that -- that leaves doubt

1 in my mind if you know for sure.

2 **MS. HUGHES:** We know for sure that none of the
3 claimants that have bioassay data have any data
4 that includes this company.

5 **MS. BEACH:** So you know that for sure.

6 **MS. HUGHES:** But only the claims we have. We
7 cannot speak for any --

8 **MS. BEACH:** Okay, so I -- I wanted to make sure
9 I understood that statement.

10 **DR. MAURO:** So as the claims come in and you
11 look at their bioassay there, you will know.

12 **MS. HUGHES:** Yes.

13 **DR. MAURO:** And you will be able to confirm
14 whether this problem exists or not.

15 **MS. HUGHES:** Yes.

16 **MR. BERONJA:** It looks like this one's okay.
17 You've already done a draft revision. Okay.
18 Anything else? Otherwise we'll --

19 **MR. KATZ:** So is this then not an SEC issue?

20 **MR. BERONJA:** It doesn't look like it's an SEC
21 issue, unless they find some-- or...

22 **MR. KATZ:** Right. Okay.

23 **4.5-13**

24 **MR. BERONJA:** All right, 4.5-13, the evaluation
25 of the uranium bioassay data should be

1 reviewed. And again, Hans, I apologize. I
2 don't know if this was yours or if you can
3 elaborate any on -- on this particular comment
4 or if this was Dunstana's.

5 **DR. BEHLING:** No, this is mine, and I think
6 we've already discussed it, and that is the
7 issue of trying to match the fluorometric
8 method --

9 **MR. BERONJA:** Oh, okay.

10 **DR. BEHLING:** -- with the enriched -- with the
11 radiological method, because as I said, in the
12 absence of knowing what type of uranium
13 material you are assaying in -- in your
14 fluorometric method, you don't really have an
15 understanding of how to convert that into a --
16 a dose to a specific tissue. And so as we
17 already said, if the early days the concern was
18 more -- leaning to more towards the chemical
19 toxicity and no radiological assessment was
20 done for -- with the urine sample, then it's
21 kind of up for grabs as to how to convert
22 micrograms per liter into a dose value.
23 Conversely, if only the radiometric method was
24 done, then I don't really care because the only
25 potential error there is the differences in

1 dcfs for U-238, 235 and 234, and the -- the
2 differences are marginal, that wouldn't concern
3 me. You can always default to the highest dcf
4 for that matter, which in most instances would
5 -- for enriched uranium would obviously be for
6 U-234 anyway. So the issue is really trying to
7 be sure that when we are looking at bioassay
8 data that cannot necessary be also linked to a
9 concurrent radiometric analysis, what is the
10 default approach.

11 **MR. BERONJA:** Okay. All right. Well, this is
12 something where I will definitely do some
13 condensing since this is really kind of a
14 repeated one.

15 4.5-14

16 So unless there's any other discussion on that,
17 we'll move on to 4.5-14, personnel exposure
18 records do not appear to be complete or of good
19 quality. And again, I may have -- I'm not sure
20 how much of your original stuff here -- I can
21 tell this is yours, Hans, by the things that
22 were excerpted and how much of the -- from the
23 site profile review I included here, but is
24 there anything else that you want to elaborate
25 here on -14?

1 **DR. BEHLING:** No, and I guess my concern was
2 that most of the records are at this point
3 confined to hard copy form. And of course the
4 acknowledgment that some of these records may
5 be very difficult to decipher, and I've looked
6 at some of the records. They are poor quality.
7 I'm -- I'm sure that some of them were
8 retrieved from fiche -- microfiche or other
9 documents, and sometimes you're at a loss to
10 even identify what the numbers represent. So
11 when -- when in fact we're dealing with records
12 that are very difficult to interpret based on
13 poor quality that you may have available, it
14 puts the -- the dose reconstructor in a -- in a
15 difficult situation. And -- and also the fact
16 that we don't have these in -- in electronic
17 form, which I assume we don't have electronic
18 form, makes the whole audit process, which
19 normally we do anyway for data complete
20 (unintelligible) data integrity are much more
21 difficult assessments.

22 **MR. BERONJA:** Okay. Would NIOSH --

23 **MS. HUGHES:** Well, the records from the site
24 indicate that -- they're fairly typical for
25 this type of site that operated around the same

1 time frame, and these records aren't much --
2 much -- all that much worse or better than
3 records from any other site. The TBD provides
4 guidance to the dose reconstructors how to use
5 and interpret the data. And as we mentioned
6 earlier, this dat-- the data from the site has
7 been abstracted for several epidemiological
8 studies, some of which have pointed out that
9 actually this -- the completeness of the data
10 is quite good for data from that time frame.
11 And I've -- I've seen a lot of the claimant
12 data that we have, and it is true that it is --
13 some -- it -- it's handwritten entries on
14 bioassay cards, but it's not illegible. It's
15 fairly easy to -- to get information off these
16 cards. Also, especially with the bioassay
17 data, you would have the reports that have been
18 provided by the bioassay contractor in form of
19 a bioassay card. Those are fairly usable and
20 for -- for external you would have the
21 dosimetry contractor reports, so I guess our --
22 our point is that we don't think the data is in
23 particularly bad shape, espec-- it's definitely
24 not in the shape that you could not use it for
25 this program.

1 **DR. MAURO:** I think this goes toward the
2 sampling issue. That is, when we go in and
3 design our strata and sampling, you know, we --
4 one of the pieces of information that will
5 emerge is whether or not you can read the
6 reference and create a database that we feel is
7 (unintelligible) confidence in. So I think
8 that this is part and parcel of what we talked
9 about before.

10 **MR. BERONJA:** Yeah. In fact, I think maybe the
11 next comment also is in the same light, but
12 anything else on this --

13 **MS. BEACH:** I have a question on the strata.
14 Will you do internal and external separately,
15 as in two separate studies?

16 **DR. MAURO:** Well, right now it looks like that
17 we're -- all of our discussion has been focused
18 on internal. When we get to the external part
19 of this review I guess a judgment will need to
20 be made whether or not there's a need to do a
21 stratified sampling of the external data. So I
22 --

23 **MR. BERONJA:** Uh-huh.

24 **DR. MAURO:** -- the answer is I don't know right
25 now. We'll get there, though -- perhaps.

1 likely to be in individual exposure records."
2 Now again, this imposes some serious
3 obligations on the part of dose reconstructors
4 to go outside of his normal scope where he gets
5 a -- a document or a file of -- of records
6 that's -- involve personal exposures. And --
7 and of course when those are not available,
8 you're now asking him to go and do his own
9 investigation regarding air con-- air sampling
10 data and possibly, in the worst case, source
11 term reconstruction methods that would even be
12 more difficult. So the question is, is this a
13 realistic expectation to ask a dose
14 reconstructor to go ahead and -- and -- and
15 look for these kinds of alternative approaches
16 for assessing internal exposure. And to my
17 estimation, it is not. And so if -- in the
18 event there are no bioassay data available in
19 behalf of a single claimant, I think it is up
20 to NIOSH then to perhaps provide that
21 alternative approach by -- by gathering data
22 for -- for their (unintelligible) data and
23 perhaps source term reconstruction data so that
24 this is not the obligation of the dose
25 reconstructor to perform.

1 **MS. HUGHES:** Yeah, I think this should be
2 possibly -- this should be addressed in the
3 coworker study so that any worker who -- where
4 no bioassay data is available could be covered
5 with that, and this language will be removed
6 from the revised TBD.

7 **DR. NETON:** Yeah, I -- I don't disagree with
8 that. I think, you know, we went a little
9 overboard in giving some leeway to the dose
10 reconstructor. But I would -- I would object
11 to the fundamental statement of the issue
12 because it directly contradicts the -- our
13 regulation which -- the con-- the finding says
14 site survey data cannot be regarded as useful
15 survey data for bioassay in dose
16 reconstruction. I think that's false.

17 **DR. MAURO:** I agree with Jim. I think Jim's
18 statement's correct. I think our main concern
19 is an ad hoc approach --

20 **DR. NETON:** Right.

21 **DR. MAURO:** -- is not the way to do this.

22 **DR. NETON:** I'll buy that, yeah.

23 **DR. MAURO:** And I think we should reword the
24 statement.

25 **MR. BERONJA:** Uh-huh.

1 **DR. NETON:** But -- but we -- I do -- we do
2 agree that we can remove that statement from
3 the TBD and provide better guidance.

4 **MR. BERONJA:** Okay. Anything else?

5 4.5-16

6 I think this -- the last internal comment,
7 potential unmonitored internal exposures
8 associated with radiation incidents are -- are
9 not addressed, and we've identified this a
10 little bit earlier on when we talked about the
11 description of some of these different units,
12 and --

13 **DR. NETON:** We could discuss this I guess at
14 some length, but I think it kind of falls into
15 the general category we discussed earlier where
16 the proof is going to come out in the -- the
17 robustness of the bioassay data that is being
18 characterized.

19 **MR. BERONJA:** Uh-huh.

20 **DR. NETON:** If indeed we have sampling data
21 that covers incidents as well as routine
22 operations and develop a fairly substantial
23 coworker model, then this goes away.

24 **MR. BERONJA:** Yeah.

25 **DR. NETON:** I say this has to remain open. I

1 agree it is a potential SEC issue, and we'll
2 work from there.

3 **DR. MAURO:** Yeah, I would say that here is a
4 case that when we develop our strata, in
5 addition -- in addition to identifying work
6 categories, building time periods, incidents --

7 **MR. BERONJA:** Yeah, right.

8 **DR. MAURO:** -- another strata --

9 **MR. BERONJA:** Yeah.

10 **DR. MAURO:** -- that we need to simplify --

11 **MR. BERONJA:** Uh-huh.

12 **DR. MAURO:** -- and that would cover this issue.

13 **DR. NETON:** I think so. We'll leave it on
14 there.

15 4.6-1

16 **MR. BERONJA:** Okay. All right, moving on to
17 external, I guess the first comment is no
18 coworker model, and I guess that kind of
19 relates to maybe the confidence in the -- in
20 the badging of -- of all the workers and having
21 a -- having a better source of information than
22 probably relying on other TBDs and other
23 information. And so maybe this is par--
24 largely addressed by the NIOSH comment.

25 **MS. HUGHES:** Yeah, well, since this data is

1 available from previous studies, it's not just
2 the internal, it's the external data as well,
3 so we're currently looking into a coworker
4 study to see if it's (unintelligible).

5 **MR. BERONJA:** Okay.

6 **DR. MAURO:** I've got a question, though. I
7 think it's pretty clear that, with regard to
8 internal, there is going to be need for SC&A --
9 certainly with direction from the workgroup --
10 to develop a -- what I call a stratified sample
11 to address the kinds of issues -- the complex
12 issues. It's not apparent that we would -- we
13 would want to do that now. Maybe -- with
14 regard to external because it sounds like that
15 there may be a straightforward matter whereby
16 you're going to come up with a coworker
17 approach whereby you say okay, here's how we're
18 going to do it, and then we could review the
19 dataset within the context of your coworker
20 model. See, I think -- I -- that might be a --
21 a more efficient way. I think -- I think when
22 it comes to internal, that is a -- a big -- a
23 big issue that requires design, iteration and
24 then implementation. Here what I'm hearing
25 here is that you've got the data. You think

1 you can build a coworker model for external,
2 and usually that's a lot simpler. And -- and
3 it might be better, in order to -- for us to
4 just look at your coworker model and the
5 supporting data once that's done.

6 Is there a time frame when you think this
7 coworker model might be available?

8 **DR. NETON:** I don't believe at this point. We
9 -- we could certainly get back to you on that.

10 **DR. MAURO:** I -- I'm just operating on the
11 premise that the -- this is a more -- more
12 straightforward exercise when it comes to
13 external.

14 **DR. NETON:** At least for photons. There may be
15 some neutron issues down the line.

16 **DR. MAURO:** There might be some neutron issues,
17 yeah.

18 4.6-2,3,4

19 **MR. BERONJA:** Speaking of neutron issues,
20 unless there's anything else on that one,
21 actually the next three -- I think at least the
22 next three comments all -- all deal with
23 neutrons, and I think -- my guess -- I think --
24 my understanding, John, is -- you could look at
25 these. I think these probably have all been

1 done before in other reviews, I think.

2 **DR. MAURO:** Oh -- oh, this is the -- yeah, the
3 -- the -- basically the 500 keV, one MeV wri--
4 whatever -- and whether or not you could
5 reconstruct the doses to workers -- apparently
6 there -- there are neutron exposure potentials
7 here. Apparently there was NTA film used. The
8 question becomes is that going to be adequate
9 to reconstruct external exposure to neutrons
10 for all workers. Is there knowledge on the
11 energy distribution in the different categories
12 of workers and the ability to adjust for that,
13 the fact that the NTA film is really not going
14 to do the trick, without some type of
15 adjustment based on knowledge of either the
16 energy distribution of the neutrons or the
17 neutron to photon ratios.

18 **DR. BEHLING:** Let me weigh in on this because,
19 as you already said, this is in fact something
20 that's come up repeatedly, and there is an
21 inconsistency throughout the -- the facilities
22 -- the records facility complexes where in some
23 instances people say okay, we realize that the
24 NTA film is not very sensitive to -- to
25 energies below 500. Then there are other

1 facilities that say below 700, and then there's
2 some even that are more gracious in saying
3 really, in truth, below 1,000 keV we really
4 don't have a good response. And so the -- the
5 issue of selecting 500 is -- may be a threshold
6 value, but it clearly sort of understates the
7 lack of sensitivity of NTA film at that energy.
8 But the other thing that I also wanted to bring
9 out was the issue of finding 4.6-2, which
10 states that the -- the pic-- the dosimeters
11 were capable of measuring both thermal and
12 (unintelligible)* neutrons, and I raised that
13 as an issue because in one of the statements it
14 says both (unintelligible)* and thermal
15 neutrons were measured and recorded as whole
16 body dose in rem. I -- I raise that as a
17 question because I'm not sure anyone really
18 measured thermal neutrons, and I guess I'll
19 leave it up to Jim or Larry or somebody else to
20 determine whether or not I'm -- I'm being
21 presumptive here in assuming that they were not
22 measuring people for thermal neutron exposures
23 -- which may be an issue for sodium-cooled
24 reactors.

25 **DR. MAKHIJANI:** This is Arjun. I -- I joined

1 the conversation a little while ago. The --
2 the -- just to pick up on the last thing that
3 Hans said, it's -- they had such a variety of
4 reactors over there, and -- and then the
5 complication with the NTA film in Santa Susana
6 is characterizing the correction factors for
7 NTA film because expected neutron spectra of
8 different reactors would probably be different,
9 the exposure geometry the different -- I don't
10 know if -- if -- if the adjustment factors are
11 going to take all that into account or whether
12 there's a general factor that you simply apply,
13 which would not seem so appropriate in this
14 case.

15 **DR. MAURO:** Would this go to -- to a coworker
16 model? Other words, before we were talking
17 about certainly a coworker model for assigning
18 doses to -- photon doses, penetrating doses.
19 At the sa-- would you have a separate protocol
20 for neutron, or would that be a -- part and
21 parcel to your overall external coworker model?

22 **DR. NETON:** You know, I don't know at this
23 time. I'm not familiar with the dataset enough
24 to -- to come up with a judgment on that. My
25 guess is, you know, we would probably have to

1 do an NP ratio thing here, but we've got to get
2 past this thermal and -- and detection limit
3 issue here first and -- I think this is early
4 in the process. We're just going to have to
5 get back and -- and look at this a little bit.
6 I don't know enough about it right now to make
7 a good statement.

8 **DR. MAURO:** Yeah, I think that these are very
9 important issues. They're SEC issues. And
10 there really is -- until I guess you folks get
11 back to us --

12 **DR. NETON:** Yeah, we're going to have to get
13 back --

14 **DR. MAURO:** -- with strategy, there really
15 isn't much for SC&A to do in terms of looking
16 at data. I think it's better we sit tight for
17 a while.

18 **DR. NETON:** Yeah, I mean we have some responses
19 here, but I'd like to get back and -- and
20 consider these a little bit more. They're
21 draft responses.

22 **MR. BERONJA:** Okay. All right, unless there's
23 anything else, I think we really only have
24 truly one more comment.

25 **MS. BEACH:** Before you go on --

1 **MR. BERONJA:** Yeah.

2 **MS. BEACH:** -- we are considering 4.6.2 an SEC
3 issue?

4 **UNIDENTIFIED:** Yes.

5 **MR. BERONJA:** I think that -- I think the three
6 of them kind of couple them all together --

7 **DR. NETON:** (Unintelligible) leave them on
8 there.

9 **MR. BERONJA:** Yeah.

10 **DR. NETON:** They can always come off --

11 **MR. BERONJA:** Right.

12 **DR. NETON:** -- if we need -- you know, if we
13 come back with a (unintelligible) --

14 **MR. BERONJA:** Yeah.

15 **DR. NETON:** -- response.

16 **MR. BERONJA:** Yeah, I've noted all three as --
17 -2, -3 and -4 as all being coupled with the
18 SEC.

19 4.6-5

20 So the last one really is the 4.6-5, the
21 dosimeter response to low energy --

22 **MS. BEACH:** Okay, one more thing -- sorry.

23 **MR. BERONJA:** Sure.

24 **MS. BEACH:** We want to ask -- okay, the 4.6.3,
25 the use of Y-12 data as surrogate, was that

1 done or where -- where did that come from?

2 **MR. BERONJA:** My understanding -- I think --
3 Arjun, I'm trying to thi-- was this -- was 4.6-
4 3 one of your comments?

5 **DR. MAKHIJANI:** Could -- could you repeat that,
6 Greg? I -- I had it muted -- I was trying to
7 unmute it and I missed your comment.

8 **MR. BERONJA:** Yeah, this is ac-- you know, I
9 forget if this was maybe yours or Hans' -- due
10 to the level of uncertainty surrounding
11 neutrons at Santa Susana, it may not be
12 appropriate to use Y-12 data as a surrogate. I
13 think that we -- fact that we said this, I
14 think Y-12 data was used as a surrogate. I
15 don't --

16 **UNIDENTIFIED:** Yes.

17 **MR. BERONJA:** I don't rec--

18 **DR. MAKHIJANI:** I -- I -- I believe -- I -- I
19 believe that that is -- I'm -- that's where
20 this comment comes from is that because the
21 neutron field situation is likely to be very
22 different at Santa Susana than -- than at Y-12,
23 we can't be transferring the -- the approach to
24 dose reconstruction from Y-12. I'd have to --
25 I'd have to go back and -- and look at the

1 details of the TBD --

2 **MS. BEACH:** So was it listed in --

3 **DR. MAKHIJANI:** -- (unintelligible) the details
4 of where it came from, but it wouldn't have
5 been in there if that had not been suggested,
6 obviously.

7 **MR. BERONJA:** Yeah.

8 **MS. BEACH:** So it was in the TBD, it wasn't in
9 the ER report.

10 **MR. BERONJA:** In the TBD, yeah.

11 **MS. BEACH:** Thank you.

12 **MR. BERONJA:** Yeah. So we go to 4.6-5,
13 dosimeter response to low energy photons. The
14 TBD does not discuss issues associated with the
15 response of dosimeters to low energy photons.
16 Hans or Arjun, was this one of yours saying...

17 **DR. BEHLING:** It's not --

18 **DR. MAKHIJANI:** It might be --

19 **DR. BEHLING:** -- one of mine.

20 **DR. MAKHIJANI:** -- Hans'.

21 **DR. BEHLING:** No, it's --

22 **DR. MAKHIJANI:** Well, it's --

23 **DR. BEHLING:** -- not mine.

24 **DR. MAKHIJANI:** -- not mine. I don't -- it
25 might be somebody else on the team.

1 **DR. NETON:** It's a Hans' one. I guarantee you
2 it's Hans'.

3 **DR. BEHLING:** No, it's not.

4 **DR. NETON:** It's not Hans'?

5 **DR. BEHLING:** I would have not included
6 because, you know, if -- if they're using film
7 dosimeter in the early days, we know what the
8 issues are regarding their energy dependence
9 and -- and I think we have resolved those
10 things any number of times in behalf of other
11 site profiles, so this is not my comment.

12 **MR. BERONJA:** So we can -- shall we take this
13 one off the -- shall we delete this one? And
14 actually we delete this one and we can delete
15 the next two, so we're done.

16 4.6-6,7

17 No, let me just discuss the next two. I think
18 I agr-- the 4.6-6, there's no justification for
19 use of surrogate time periods in considering
20 releases from the stack -- this is
21 environmental comment and mistakenly got
22 included here. 4.6-7 talks about adequate
23 consideration of Area One in the TBD. Area
24 One's really not part of the covered areas so
25 we pull that off the table. So I think that's

1 it as far as the formal matrix.

2 **DR. MAKHIJANI:** I mean Greg, you and I
3 discussed this the other day. I mean we're
4 presuming that Area One is not under
5 consideration.

6 **MR. BERONJA:** Right, right. Yeah, yeah, I
7 think --

8 **DR. MAKHIJANI:** So we're suggesting dropping
9 that.

10 **MR. BERONJA:** We're all in agreement on that
11 here.

12 **DR. MAKHIJANI:** Okay, fine.

13 **MR. BERONJA:** Yeah.

14 **MS. BEACH:** Okay, I have a question for NIOSH.
15 Is there -- do you guys have worker -- some of
16 your worker interviews on line? Or have you
17 done any?

18 **MS. HUGHES:** Yes, there were -- well, there
19 were worker interviews done with -- in
20 association with the evaluation report, and
21 yes, we do have them.

22 **MS. BEACH:** Are they on line?

23 **MS. HUGHES:** They're not on line, but they
24 should be available to you -- they -- I think
25 are referenced in our evaluation report, so if

1 you have --

2 **DR. NETON:** Whether they'd be on line or
3 there'd be a reference, I don't know, but we
4 can put them --

5 **MS. HUGHES:** Yes, they should be accessible to
6 you.

7 **DR. NETON:** Can we -- could we get them on the
8 O drive?

9 **MS. HUGHES:** I think they are on the O drive.

10 **MS. BEACH:** Yeah, I haven't looked.

11 **DR. NETON:** Okay, we'll check -- we'll check to
12 make sure --

13 **MS. HUGHES:** Typically we put all the
14 references for the evaluation report in a -- in
15 a folder that's accessible to you so you can
16 look at all the references that we referenced
17 in the evaluation report.

18 **MS. BEACH:** Yeah, and I apologize, I haven't
19 looked.

20 And then you guys said yours are in review.
21 When will those be available to us?

22 **MR. BERONJA:** I think they've gone back to the
23 workers for input. I'll have to talk to Kathy
24 DeMers, who's working on that, see what the
25 time frame -- the likely time frame. And then

1 I think it goes back for DOE review again, I
2 don't know. You might understand these
3 procedures more than I do.

4 **UNIDENTIFIED:** I'm not sure.

5 **MR. BERONJA:** So I don't know if that's -- it's
6 probably several weeks.

7 **DR. MAURO:** We're -- we're -- yeah, we're --
8 we're in a funny state. Remember when I -- we
9 opened our meeting, so I suspect that once the
10 package comes back from Kathy DeMers --

11 **MR. BERONJA:** Right.

12 **DR. MAURO:** -- when she has made whatever
13 corrections need to be made in light of
14 feedback from the workers --

15 **MR. BERONJA:** Right.

16 **DR. MAURO:** -- that then becomes --

17 **MR. BERONJA:** That becomes part of the document
18 --

19 **DR. MAURO:** -- part of this package --

20 **MR. BERONJA:** Right.

21 **DR. MAURO:** -- as an attachment, which has to
22 be part of the review -- the complete review
23 that DOE has to do --

24 **MR. BERONJA:** Right. Right.

25 **DR. MAURO:** -- so -- I guess what I'm getting

1 at is that I don't think you're going to see
2 that until DOE --

3 **MR. BERONJA:** Right.

4 **DR. MAURO:** -- you know, clears it --

5 **MR. BERONJA:** Get the -- get the review. Uh-
6 huh.

7 **DR. MAURO:** -- DOE clears it.

8 **MS. BEACH:** But once they're all cleared, then
9 you will automatically send them out to --

10 **DR. MAURO:** Oh, yeah, then --

11 **MR. BERONJA:** Yeah.

12 **DR. MAURO:** -- then -- then --

13 **MR. BERONJA:** Then it becomes part of this
14 document --

15 **DR. MAURO:** Right.

16 **MR. BERONJA:** -- this review.

17 **DR. MAURO:** Right. Now the question I have,
18 'cause I'm not sure -- let's say we get some
19 feedback from -- we get our -- we get the
20 material back from Kathy DeMers. And it -- and
21 it provides greater insight to some of the
22 issues --

23 **MR. BERONJA:** We might revise our document.

24 **DR. MAURO:** -- we mi-- yeah, so I'm -- I'm -- I
25 guess -- a little guidance here. Let's say it

1 turns out some new issues emerge as a result of
2 the feedback we get from the interviews.
3 Normally we don't -- I guess we -- we don't
4 revise the document, but we -- you know, it
5 would be an attachment, it would be there, so
6 it would be -- it's in the record, but then of
7 cour-- the problem becomes it's not part of the
8 matrix, so we -- do we -- would we just add in
9 those new items to the matrix if -- if
10 something new comes up? You know -- other
11 words, when -- when this is issued officially,
12 finally, and is available for public
13 distribution, including the Kathy DeMers
14 attachment which is the worker interview, what
15 might happen as a result of that -- we might
16 identify a number of additional issues. What
17 I'm suggesting is we simply add them into the
18 matrix and -- so that they're on the matrix as
19 new -- as new issues, if that's okay with you
20 folks.

21 **MR. GIBSON:** Yeah.

22 **MR. BERONJA:** Yeah, and until they're addressed
23 similar to what we've done here, we'll probably
24 somehow highlight them or --

25 **DR. MAURO:** Yeah, we'll -- we'll indicate these

1 are new --

2 **MR. BERONJA:** -- some new things, yeah.

3 **DR. MAURO:** -- have come out since the last
4 meeting.

5 **MR. BERONJA:** Right, and --

6 **DR. MAURO:** Yeah.

7 **MS. MUNN:** Well, are we going to -- are -- are
8 you going to put this matrix into the general
9 format that we've been using in procedures? If
10 so, then the date will appear automatically.

11 **DR. MAURO:** I -- yeah, a -- a good question. I
12 guess the way we've been doing it is each set
13 of new information is dated. In other words,
14 we're -- we're -- we're going to be filling out
15 this matrix further. There's going to be
16 another tier and we'll date it, the way we've
17 done on others, so that we know that the new
18 information is the result of what came out at
19 this meeting.

20 **MR. BERONJA:** Right.

21 **DR. MAURO:** So -- so -- so yeah, I think that
22 the -- the fundamental approach is we prepare a
23 matrix based on our report. You folks respond
24 the way you have -- I think you still have some
25 responses that you may want to provide. In

1 other words, in some places you don't have
2 responses.

3 **DR. NETON:** No, there's a response --

4 **DR. MAURO:** Was I (unintelligible)?

5 **DR. NETON:** -- on every issue.

6 **MR. BERONJA:** Yeah.

7 **DR. MAURO:** Okay.

8 **MR. BERONJA:** Yeah. Yeah.

9 **DR. MAURO:** Yeah, but --

10 **DR. NETON:** But we might -- we have some that
11 we might want to revise, too.

12 **DR. MAURO:** Right. The-- then -- then I think
13 that -- then there -- you notice there's a
14 space there called "Board action." I think
15 what we'll need to do is we will work, together
16 with you folks, to make sure we clearly
17 articulate what has transpired at this meeting
18 and what actions the Board -- the workgroup has
19 directed us to do, as best we can te-- you
20 know, so we'll fill that in together, and then
21 I guess -- you know, and we'll get that back to
22 the workgroup, say okay, here's our revised
23 matrix. I'm trying to think of the mechanics
24 of this thing.

25 **MR. BERONJA:** Yeah, 'cause we're going to have

1 -- you know, it's not like there's a master
2 document, either, that we're all going to,
3 whether they're going to be working on it -- we
4 might be working on it --

5 **DR. MAURO:** Well, yeah, we got --

6 **MR. BERONJA:** -- so we've got to integrate --

7 **DR. MAURO:** Yeah, we've got to work together on
8 this.

9 **MR. BERONJA:** Yeah. Yeah, yeah.

10 **DR. MAURO:** On the next -- on the next go-
11 around on this -- this document, but I think it
12 also should reflect the dates. That is, the --
13 it should be clear, you know, that whatever
14 marching orders we have, where we see "Board
15 action", it would be associated with the date
16 of this meeting. This is what emerged from
17 this particular meeting.

18 **MR. BERONJA:** Right.

19 **MS. BEACH:** So do we have a clear picture of
20 marching orders today?

21 **DR. MAURO:** We're going to try to put that
22 together and we'll --

23 **MR. BERONJA:** Yeah, I think we do.

24 **DR. MAURO:** -- work with -- we'll -- we'll work
25 with NIOSH --

1 **MR. BERONJA:** Yeah.

2 **DR. MAURO:** -- and put together our story, and
3 I think we -- maybe we pass it back to you
4 folks to make sure you're seeing it the same
5 way we see it, and then it goes into the
6 matrix.

7 **MR. BERONJA:** Yeah.

8 **DR. MAURO:** Is that okay?

9 **MS. BEACH:** 'Cause I'd like to see that
10 sampling done for the -- the --

11 **DR. MAURO:** Oh, the --

12 **MS. BEACH:** -- the stratosphere.

13 **DR. MAURO:** -- the strata, the strata.

14 **MS. BEACH:** The strata.

15 **DR. MAURO:** Well -- well, that's -- that's --
16 the -- that's one -- yeah, the strata -- we'll
17 make reference to -- that's one of our marching
18 orders. The actual document is -- that's -- we
19 usually send that out as a separate -- as a
20 white paper, a white paper says here, here's
21 the strata that we'd like to use. You have a
22 chance to look at it and say yeah, this is
23 good, and then we design a sampling program
24 around that and -- and we don't implement it,
25 though, until you folks say implement.

1 **MR. BERONJA:** Right, and then it will be --
2 might be a couple months.

3 **DR. MAURO:** Yeah, ex-- yeah, it -- it could
4 take a couple of months to implement those --

5 **MS. BEACH:** How do you determine the percentage
6 of what you'll sample?

7 **DR. MAURO:** We go to our statistician. Turns
8 out it's very simple. He tells us that for
9 every strata you have to have at least 20
10 samples.

11 **MS. BEACH:** At least 20?

12 **DR. MAURO:** Twenty, yeah.

13 **MR. BERONJA:** Although -- although Fernald was
14 a little different.

15 **DR. MAURO:** What happened on Fernald, yeah --

16 **MR. BERONJA:** I don't know, he came up with a
17 large number --

18 **DR. MAURO:** Bigger number, yeah.

19 **MR. BERONJA:** -- so I don't think we can use it
20 --

21 **DR. MAURO:** We -- we will do the best we can to
22 communicate to you the number and why.

23 **MR. BERONJA:** Yeah.

24 **DR. MAURO:** Right now -- I said 20 because
25 that's what came out of the --

1 **MR. BERONJA:** NTS, yeah.

2 **DR. MAURO:** -- NTS, yeah.

3 **DR. MAKHIJANI:** This is -- this is Arjun. You
4 usually -- I -- I've discussed this with Harry
5 on a num-- in a number of different contexts,
6 and usually if you have a very large pool of --
7 of claimants or -- or employees that --
8 relatively homogeneous that you're sampling,
9 then you can make good statements if you do a
10 random sampling of 20. But if -- if it has to
11 be stratified, then -- then it gets very
12 complicated, and then sometimes -- the reason
13 it got complicated for Fernald is we asked him
14 -- well, we want to catch people who worked in
15 Plant 7, and Plant 7 was only open for a little
16 while, and what do we do about that? And so it
17 -- it gets complicated if you're over-sampling
18 for a very -- for -- for a particular group in
19 order to be able to say something about them,
20 and then -- then it can get -- the sample size
21 can get very large. But usually 20 per strata.

22 **MR. BERONJA:** Okay.

23 **MS. MUNN:** This is Wanda. In your next go-
24 round with the matrix, after you've collapsed a
25 great many of the items that we had today, I

1 might suggest that you consider the wording
2 where you're -- your heading of "Board action",
3 do you really mean Board action or do you mean
4 workgroup action? It really should --

5 **DR. MAURO:** Yes --

6 **MS. MUNN:** -- be distinguished --

7 **DR. MAURO:** -- yeah, we have to get the
8 terminology right. It would be --

9 **MS. MUNN:** I think you'd better say workgroup.

10 **DR. MAURO:** Yeah, workgroup recommend a path
11 forward or something like that.

12 **MS. MUNN:** Yeah. Yeah, workgroup
13 recommendation.

14 **DR. MAURO:** Yeah.

15 **MS. MUNN:** It's not a Board action.

16 **DR. MAURO:** Yeah, absolutely.

17 **MS. MUNN:** And I agree with you, John, the
18 dates are essential.

19 **MR. GRIFFON:** This is Mark Griffon. Can I ask
20 a question? The -- the SC&A matrix is a site
21 profile review. Did SC&A formally review the
22 ER report?

23 **MR. BERONJA:** Yeah, Mark, we looked at the ER
24 report, but you know, more just to get a
25 general sense for it, and then when we did our

1 site profile review and came up with the
2 comments, as you see on the matrix, we took an
3 initial shot and in fact --

4 **MR. GRIFFON:** Right.

5 **MR. BERONJA:** -- it was largely me, at which
6 issues were SEC issues. But we were not
7 formally tasked to review the --

8 **MR. GRIFFON:** That's what I -- that's what I
9 was questioning, and --

10 **MR. BERONJA:** Yeah.

11 **MR. GRIFFON:** -- the other component of that,
12 which I think is critical, is -- I saw in
13 NIOSH's ER report they addressed some of the
14 petitioner's questions --

15 **MR. BERONJA:** Right.

16 **MR. GRIFFON:** -- and I think that -- that the
17 petitioner would probably appreciate it if SC&A
18 al-- you know, if -- if we also considered
19 their specific questions. I mean we may
20 completely agree with NIOSH's response, but I
21 think that should be on the table, so I -- I
22 think we should probably -- it may not result
23 in any new matrix items, but at least we need
24 to be able to say that we have looked at the ER
25 report and the petitioner's, you know, full set

1 of questions, et cetera.

2 **DR. NETON:** I -- I guess -- this question came
3 up earlier, though, was SC&A tasked with doing
4 a formal review of the evaluation report.

5 **MR. GRIFFON:** Yeah, maybe I missed that, Jim.

6 **DR. MAURO:** Yeah, that was one of the prob--
7 it's not a problem. We were asked to keep it -
8 - to be a limited review and be -- in effect
9 the way Greg described it is while we're
10 reviewing the site profile, please take a look
11 at the evaluation report and -- and give your
12 perspective on which issues might be SECs. I
13 think that we will need some official
14 authorization by the Board to expand this into
15 a foc-- let's call it a focused SEC petition
16 review and -- and do the strata issue, perhaps
17 look at and do a formal review of the
18 evaluation report. I -- I think that's
19 something that has to come from the Board.

20 **MR. GIBSON:** Mark and Wanda, we've discussed it
21 here a little bit and since you guys weren't
22 here obviously you weren't part of the
23 conversation, but that's probably what I do
24 when we report to the Board from the workgroups
25 is ask them to -- ask the Board to task SC&A to

1 do the full site -- or SEC review.

2 **MS. MUNN:** I think that's appropriate for you
3 to do, Mike.

4 **MR. BERONJA:** Yeah, you know --

5 **MR. GRIFFON:** I agree, yeah.

6 **MR. BERONJA:** One -- one other thing that we
7 actually talked about at lunchtime today, that
8 SC&A is going to try and do -- will try to do
9 before next Tuesday is that -- I don't think we
10 -- I don't think we knew that the Board hadn't
11 taken action on the 1955 to 1958 period of the
12 SEC report, so we're going to actually probably
13 just in the form of a letter just summarize
14 kind of our overall findings. I think what
15 you'll see is that we were going to concur with
16 those particular dates, but say that post-1958
17 we'll continue to review as part of the focused
18 review if the additional years should be
19 included. So we're going to try and get that
20 done so that the Board can potentially take
21 action on that next week.

22 **MS. MUNN:** Good luck, and that's great. Yeah.

23 **MR. GIBSON:** Okay, is there anything else? I
24 don't -- I think it's going to be a little too
25 early to try to set another date just yet, so

1 we'll --

2 **MS. KLEA:** This is Bonnie. I do have a couple
3 of comments. I don't know if this is the right
4 time.

5 **MR. GIBSON:** Yeah, go ahead, Bonnie.

6 **MS. KLEA:** See, first of all, I have a letter
7 from Christine -- is it Branche? -- and my
8 petition is -- has been referred to as for only
9 monitored workers, and I'd like to have that be
10 corrected. I have a letter dated August 14
11 from Christine Branche, and -- and this is --
12 you know, several references from NIOSH that my
13 petition's only for the monitored workers,
14 which is not true.

15 **MR. GIBSON:** Bonnie, it should be for monit--
16 monitored or those that should have been
17 monitored, I believe, unless NIOSH has changed
18 some opinion. But I -- probably just a typo
19 but, Ted, will you see that --

20 **MR. KATZ:** Yeah, I'll look into it, Bonnie. I
21 think whatever it is, it might be a misuse of
22 words or something, but it certainly -- nothing
23 -- nothing was excluded from your petition, so
24 --

25 **MS. KLEA:** Okay, thank you. And then also I

1 was wondering when the transcript from today's
2 meeting would be posted?

3 **MR. KATZ:** From today's --

4 **UNIDENTIFIED:** Tomorrow?

5 **MR. KATZ:** Bonnie, I -- I can't --

6 **MS. KLEA:** I hear laughing, who's laughing?

7 **MR. KATZ:** Well -- well, it's just -- people
8 around the table were just laughing 'cause
9 there's so many workgroup meetings and there's
10 so many transcripts being worked on and only
11 one can be done at a time that -- there's no --
12 no harm intended in laughter, but -- but I -- I
13 can't tell you, Bonnie, when this workgroup
14 meeting will be posted because, in general,
15 we're trying to get workgroup meetings that are
16 older than this done first posted. We're
17 trying to do them in order except when there's
18 a priority issue for a workgroup to be able to
19 move forward and so on, so I can't -- I can't
20 answer that to you.

21 **MS. KLEA:** Okay, do you -- do you record the
22 meeting or do you have a transcriber there?

23 **MR. KATZ:** It's -- we have -- we have a
24 transcriber and it is recorded.

25 **MS. KLEA:** Okay, thank you.

1 **MR. KATZ:** You're welcome.

2 **MS. BEACH:** Sorry for the laughter, Bonnie.
3 I'm still waiting for my June meeting notes.

4 **MR. GIBSON:** If there's nothing else then,
5 we'll just adjourn the meeting now.

6 **MR. KATZ:** And the meeting's adjourned. Thank
7 you for attending.

8 (Whereupon, the meeting was adjourned at 3:10
9 p.m.)

10

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of August 26, 2008; and it is a true and accurate transcript of the testimony captioned herein.

I further certify that I am neither kin nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 15th day of Sept., 2008.

STEVEN RAY GREEN, CCR, CVR-CM, PNSC**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**